

State EMS Committee Committee

January 13, 2016
1:02 p.m.

Location: Bureau of EMS and Preparedness
Highland Building
3760 South Highland Drive
3rd Floor Auditorium
Salt Lake City, Utah

Reporter: Teri Hansen Cronenwett
Certified Realtime Reporter, Registered Merit Reporter

1 January 13, 2016 1:02 p.m.
2 PROCEEDINGS
3 **KRIS KEMP:** All right. A couple of ground
4 rules as we're getting started. We ask that anyone that
5 presents anything to the committee, we all have our name
6 tags. Unfortunately, most of you do not have your name
7 tags.
8 If you wouldn't mind, we have a court
9 reporter, court recorder here that will need your name,
10 and we would like to be able to hear you. We don't have
11 microphones, so we ask that you come to one of the two
12 front ends of the auditorium here to state your name if
13 you have something to present or discuss.
14 If you -- you know, if we have a lot of random
15 conversations or people that are trying to put in their
16 points, it's going to be difficult to put that on
17 record. And so in order for us to recognize it, we need
18 you to stand and be recognized. So that's kind of a
19 little bit of housekeeping for us.
20 Before we get started, I do want to reiterate
21 the point of our executive session that we just had
22 upstairs. We do this executive session to try to
23 expedite this meeting for everyone else. So we spend an
24 hour and a half upstairs going over the topics of the
25 agenda to start some discussions but obviously not make

Page 3

A P P E A R A N C E S

Kris Kemp, Chair
Guy Dansie
Nathan Curtis
Marc Sanderson
Russell Bradley
Casey Jackson
Jason Nicholl
Michael Moffitt
Bob Grow
Jeri Johnson
Margy Swenson
Mark Adams
Laonna Davis

Page 2

1 any decision. It's just to start the conversation so
2 that we can start to get a feel for what's going to
3 require more time or less time.
4 And we recognize this is an open meeting, and
5 we welcome anyone's opinion and discussion, as long as
6 it is an appropriate time and it's been recognized.
7 With those bit of housekeeping items, we will get
8 started.
9 I am Kris Kemp. I'm the chair for this EMS
10 committee for the state. So welcome all guests.
11 Committee members, we'll do a quick introduction. We do
12 have a couple of new committee members with us, and so
13 we'll start down here. We'll introduce ourselves
14 briefly, and we'll get moving in that direction. Go
15 ahead.
16 **LACONNA DAVIS:** Laonna Davis, Department of
17 Public Safety, dispatch representative.
18 **MARK ADAMS:** Mark Adams, hospital
19 representative.
20 **MARGY SWENSON:** Margy Swenson, rural EMS
21 representative.
22 **JERY JOHNSON:** Jery Johnson, rural EMT.
23 **BOB GROW:** Bob Grow, I'm an emergency
24 physician.
25 **MIKE MOFFITT:** Mike Moffitt with Gold Cross

Page 4

1 Ambulance.
 2 **KRIS KEMP:** Kris Kemp, rural physician and
 3 department -- or committee chair.
 4 **JASON NICHOLL:** Jason Nicholl, paramedic
 5 representative.
 6 **CASEY JACKSON:** Casey Jackson, citizen
 7 representative.
 8 **RUSSELL BRADLEY:** Russell Bradley, rural
 9 physician representative.
 10 **MARC SANDERSON:** I'm Marc Sanderson
 11 representing registered nurses.
 12 **NATHAN CURTIS:** Sheriff Nathan Curtis
 13 representing the sheriff's association.
 14 **GUY DANSIE:** I'm Guy Dansie representing the
 15 Bureau of EMS and Preparedness. Oh, there's a chair.
 16 **JASON NICHOLL:** There's a chair here.
 17 **KRIS KEMP:** All right. Thank you. Again, I
 18 think it's important to point out that this committee is
 19 comprised by members of the community of the state of
 20 Utah, that we all have representation by certain
 21 entities and that we -- I believe it's important to
 22 point out that we do represent the State of Utah and its
 23 EMS agencies in various formats.
 24 And so this is a diverse committee, and that
 25 we are always moving towards making the rules of our

Page 5

1 agencies function in a safe fashion for all the patients
 2 that we interact with on a day-to-day basis.
 3 We will move into our action items. First of
 4 all the approval of the minutes as have been handed out.
 5 If there's anything to discuss, this is your opportunity
 6 to do so. Otherwise, I will accept a motion and second
 7 for approval.
 8 **JASON NICHOLL:** Moved.
 9 **BOB GROW:** Second.
 10 **KRIS KEMP:** In all favor say eye.
 11 **COMMITTEE MEMBERS:** Eye.
 12 **KRIS KEMP:** Any opposed?
 13 (Silence.)
 14 **KRIS KEMP:** And any abstain?
 15 **MARC SANDERSON:** I didn't have a chance to
 16 read them, I apologize.
 17 **NATHAN CURTIS:** Sheriff Curtis also.
 18 **KRIS KEMP:** Thank you. All right. Guy,
 19 verbiage change for prehospital data.
 20 **GUY DANSIE:** Yes. Last time we met we
 21 actually approved our rule for data, R426-7 with a
 22 couple of noted changes that we needed to amend before
 23 it went through as a rule for the department to approve
 24 and to put out for public comment. And we also put
 25 together a small working ad hoc review committee. And

Page 6

1 they worked with Shari Hunsaker and reviewed that, and
 2 I'm going to go ahead and turn the time over to her to
 3 discuss that.
 4 We just wanted to bring it to the table again,
 5 make sure everybody understood and was clear on the
 6 changes that were put together. And then we approve
 7 those as an action item so that they can go forth in the
 8 rule making process. Go ahead, Shari.
 9 **SHARI HUNSAKER:** Guy, do you want me to cover
 10 the entire thing or just that one modification?
 11 **GUY DANSIE:** Just that one modification. I
 12 think we covered it quite well last time we had our
 13 meeting.
 14 **SHARI HUNSAKER:** Okay. For the record my name
 15 is Shari Hunsaker, S-H-A-R-I, H-U-N-S-A-K-E-R, and I work
 16 for the Bureau of EMS and Preparedness.
 17 There was some discussion at the last meeting
 18 regarding the department's need for notification for
 19 those agencies that may not be using the state-provided
 20 prehospital reporting system. What ultimately we have
 21 boiled it down to is found on the second page of the
 22 rule. I -- so it would be in No. 5, letter B.
 23 Emergency medical services providers shall
 24 provide the department 90 days notice when changing
 25 reporting systems. That's generic enough. It's not

Page 7

1 singling out any particular agencies or identifications.
 2 If you are going to change reporting systems, we need 90
 3 days notice. That's the only modification that we made
 4 from the last meeting.
 5 **KRIS KEMP:** Okay. Anything further, Guy, that
 6 you need to discuss in that regard?
 7 **GUY DANSIE:** No, I think we -- like I said, we
 8 went over it last meeting. I think everybody was okay
 9 with it.
 10 **SHARI HUNSAKER:** The motion last time was --
 11 **GUY DANSIE:** That we change this.
 12 **SHARI HUNSAKER:** -- to accept the rule change
 13 with the exception of the verbiage there on letter B.
 14 **GUY DANSIE:** Right. So we're just -- this is
 15 just a formality to make sure everybody is okay with
 16 that before I put it out for public comment.
 17 **KRIS KEMP:** Okay. Do we have a motion to
 18 approve the changes as noted?
 19 **JERI JOHNSON:** I'll make a motion.
 20 **KRIS KEMP:** All right. And a second?
 21 **BOB GROW:** I'll second.
 22 **KRIS KEMP:** Have a second. All in favor say
 23 eye.
 24 **COMMITTEE MEMBERS:** Eye.
 25 **KRIS KEMP:** All opposed, any?

Page 8

1 (Silence.)
 2 **KRIS KEMP:** And any abstain?
 3 (Silence.)
 4 **KRIS KEMP:** All right. Motion carries.
 5 **JASON NICHOLL:** If I can for a moment.
 6 **KRIS KEMP:** Please.
 7 **JASON NICHOLL:** I just wanted to thank you,
 8 Shari. This was really hard work and some heavy lifting
 9 getting all the way through this, and you were a
 10 champion, and it was a pleasure to serve on the
 11 committee with you.
 12 **SHARI HUNSAKER:** Thank you.
 13 **JASON NICHOLL:** As much as of a pain as I am,
 14 I do appreciate your work.
 15 **SHARI HUNSAKER:** You know, I had a nerve
 16 ablation yesterday, so I am not feeling much pain any
 17 more, so you are okay.
 18 **JASON NICHOLL:** Took that right out, huh?
 19 (Laughter.)
 20 **KRIS KEMP:** Good. That's great. All right.
 21 Shari, you had the next action item, final list of
 22 NEMSIS 3.4 data elements.
 23 **SHARI HUNSAKER:** This was actually heavier
 24 lifting, Jason, than the pool change.
 25 **JASON NICHOLL:** Well, I meant the whole thing,

1 color. It's not to bring your attention to one element
 2 or the other.
 3 Whether or not it is a national Utah or
 4 optional element is now in this column A. And the
 5 greatest discussion that we had was regarding the
 6 collection of patient name and address. That is left to
 7 the states to re -- to require. It's not reported to
 8 the national database. Ultimately we decided that we
 9 would leave it turned on and make it a state-required
 10 element.
 11 However, the greatest concern was, if we can't
 12 get the patient's name, you are going to have a lot of
 13 patient records in there with a name of John Doe. There
 14 is actually a pertinent negative value for that. If you
 15 cannot obtain the patient's name, that we will encourage
 16 agencies to use that appropriate value for "couldn't
 17 obtain" or "not recorded."
 18 And in addition to that, I am including a
 19 business rule in Image Trend that will flag any -- it
 20 will be a nonfatal edit because there might be somebody
 21 out there whose mom and dad had a sense of humor and
 22 really did name the kid John Doe.
 23 But if a record comes across with John Doe or
 24 Jane Doe, it's going to return a warning back to the
 25 agency that says, if the patient's name is unknown,

1 not just that.
 2 **SHARI HUNSAKER:** Oh, okay. So we've been
 3 working on our transition to the NEMSIS version 3.4 data
 4 standard. When we go live with Image Trend, it will be
 5 with version 3.4. We organized a task force to meet and
 6 go over all of the data elements and decide of those
 7 that were optional or state -- up to the state, which
 8 elements we were going to include.
 9 So the document that the members have in front
 10 of them is a list of all of the data elements, whether
 11 they are national, state, or optional. And you have
 12 actually got them divided into two sections. The last
 13 four pages or so, starting on page 11, those are the
 14 demographic data set elements. And pages 1 through 10
 15 are the patient care reporting or PCR level of elements.
 16 So there are two different data sets in
 17 NEMSIS. One is for the patient care report, and the
 18 other one is for the agency demographics.
 19 The highlighting that you see and the color of
 20 the rows is only to help you differentiate between the
 21 various sections. So all of the elements that are in
 22 the E record section are in blue. The next section is E
 23 response. Those are not shaded. And then the next
 24 section, E dispatch is shaded.
 25 That's the only reason that there is any

1 please use this pertinent negative value so we'll not
 2 have a myriad of PCRs recorded under the patient name of
 3 John Doe.
 4 **KRIS KEMP:** Okay. Any specific questions
 5 about this list?
 6 **SHARI HUNSAKER:** Oh, one other thing. I'm
 7 sorry, Kris. I did include some element notes here for
 8 what the default return would be and when that element
 9 would be required. So if E disposition dot 12, if you
 10 look at that in the list of elements, if that is treated
 11 and transported by this EMS agency, then we will require
 12 odometer readings for on-scene and designation for
 13 billing purposes.
 14 So that's what those notes are for, and I am
 15 willing to add any notes to this document that you feel
 16 are necessary.
 17 **KRIS KEMP:** All right. Thank you. Any
 18 further discussion about the -- this list of data
 19 elements? All right. Then I would entertain a motion
 20 for approval of this final list of NEMSIS 3.4 data
 21 elements.
 22 **JASON NICHOLL:** So moved.
 23 **MARK ADAMS:** I second.
 24 **KRIS KEMP:** All right. All in favor say eye.
 25 **COMMITTEE MEMBERS:** Eye.

1 **KRIS KEMP:** Any opposed? Any abstain?
 2 (Silence.)
 3 **KRIS KEMP:** Okay. Thank you. Subcommittee
 4 reports and action items starting with -- well, I guess
 5 we -- it looks like only operations will be giving us an
 6 update today. Eric?
 7 **ERIC BAUMAN:** Hello. I'm Eric Bauman.
 8 E-R-I-C, B-A-U-M-A-N, chair of the operation
 9 subcommittee. So a couple issues. So we met -- or
 10 informational.
 11 So we met last month, and again discussed --
 12 we had a presentation from Jason Nicholl on mobile
 13 integrated health. We'll continue to monitor the three
 14 systems that are being -- are in place within the state
 15 and their progress. So we'll continue to report to you
 16 on that.
 17 Secondly, we're on target for our completion
 18 date for our catastrophic earthquake portion of the
 19 ESF-8 plan, and we're anticipating to finish that in
 20 February. We're meeting next week. The template is all
 21 finished. All the components of that plan are complete,
 22 and so it's just a matter of writing that plan, which
 23 will start on the 19th of January. Should have it
 24 finished in February and ready to present to you easily
 25 before the next meeting. So that's really positive.

Page 13

1 Ambulance standards. We had a industry
 2 representative come and talk to us about more ambulance
 3 specs, standards. There's still a lot of questions, and
 4 we'll continue to monitor that as well. Still don't
 5 have anything real concrete on a particular standard
 6 that's going to be adopted or --
 7 **GUY DANSIE:** Yeah. I just wanted to comment
 8 on this. This is a national problem. All of the states
 9 are dealing with this, and everybody's kind of in a
 10 holding pattern on the wait-and-see kind of approach.
 11 And I appreciate the -- what the operations subcommittee
 12 has done. But it seems to be a problem nationwide, and
 13 I think if we learn anything on the -- like from other
 14 states or things like that, we will certainly share that
 15 immediately with you as we try to hash this out.
 16 **ERIC BAUMAN:** Great. Okay. And vice versa.
 17 Yeah. We are just kind of in the information gathering
 18 stage right now and trying to follow what other states
 19 were doing, so we'll pass that on to you.
 20 And then the bulk of our time was spent
 21 discussing the cost, quality and access template for the
 22 licensure process, and we -- there was some, not
 23 concerns but questions that required some clarification.
 24 Jason Nicholl, our representative, took that back to
 25 you, I believe. And I see that's an agenda item today,

Page 14

1 and I believe discussed that earlier. So we'll wait for
 2 further clarification from the EMS committee on that,
 3 and we'll move forward.
 4 **KRIS KEMP:** All right.
 5 **ERIC BAUMAN:** Any questions? Okay.
 6 **KRIS KEMP:** Thanks for your work. There will
 7 be some assignments coming up, I believe.
 8 **ERIC BAUMAN:** Great.
 9 **KRIS KEMP:** During our discussion and
 10 informational items.
 11 **ERIC BAUMAN:** Perfect. Thank you.
 12 **KRIS KEMP:** As a point of note, there was a
 13 bit of a change to our -- or what we would have hoped to
 14 have had on our agenda was a report from professional
 15 development as well, but for some reason it fell off the
 16 agenda. So we'll put that off, and we'll continue on
 17 with our informational items at this point.
 18 Information items can be somewhat more
 19 modified. We just can't vote on anything that's not on
 20 our formal agenda. So we can add a few things that also
 21 didn't make it to this agenda. We have to ask for a
 22 little bit of an allowance and forgiveness because
 23 Suzanne's out with a medical condition that she is
 24 trying to get over, and she put the agenda together
 25 before she had -- before she left, and a few things

Page 15

1 didn't get quite glued together as we had hoped to.
 2 In that regard, there will be a couple of adds
 3 to the informational items, and so we'll discuss those
 4 in a few minutes.
 5 Starting off, in our informational items, I
 6 am -- I brought forth a question, specifically about
 7 ventilators and EMS transports. There -- to give some
 8 background, as an ER physician, and dealing with
 9 critical patients that require ventilation, person is
 10 not breathing for themselves, right now the options are --
 11 you know, obviously we need to try to breathe for them.
 12 And there are certain standards in medicine
 13 that need to be met. And ideally someone who isn't
 14 breathing for themselves, once their airway is protected,
 15 they should be put on some form of a ventilator so that
 16 appropriate gas exchange can be made and the other
 17 conditions the person is dealing with can also be worked
 18 on as far as the stabilization.
 19 If you don't have a ventilator, then typically
 20 bagging a patient, using a bag valve mask or bag valve
 21 with intubation, is kind of the temporary standard.
 22 There are plenty of studies out there that show that
 23 bagging patients are variable.
 24 Even the greatest respiratory therapists and
 25 pulmonologists that are out there working in ICUs, it's

Page 16

1 been well studied and well documented in the literature
 2 that to bag a patient, basically you have a lot of
 3 variability in the amount of pressure you are providing,
 4 the rate, the volume, all the different parameters that
 5 we're trying to control to stabilize a person who is no
 6 longer breathing for themselves, whether it's primarily a
 7 lung problem or something else that's making it so that
 8 they are not breathing for themselves.

9 All of those variables are not managed well by
 10 a human being. That's why we put people on ventilators
 11 in hospitals. The challenge comes from when we have a
 12 transport, an interfacility transport where there is a
 13 patient that is otherwise intubated, and they require
 14 some form of oxygenation and ventilation.

15 In some circumstances the solution has been on
 16 these critical patients to put them on a ventilator and
 17 transport. Now, there's some agencies around the state
 18 that have done this for quite some time and have a lot
 19 of experience, which is difficult to train. Taking a
 20 weekend course does not generally prepare you for
 21 managing a patient on a ventilator. And I think just
 22 about any physician would attest to that.

23 And so it's difficult as a physician to take a
 24 step back and say, okay, as a committee member for the
 25 state EMS, is the safest thing for a patient to be

Page 17

1 bagged from one hospital to the next, when we have data
 2 that says that probably not?

3 Is the safest thing to stay in place where
 4 they have a greater amount of resources until a critical
 5 care transport can be made? Or do we put that person in
 6 the back of an ambulance without a ventilator, with
 7 limited resources, and say just go; just get them there
 8 fast? An ample amount of diesel fuel will take the
 9 place of any time spent in an emergency department.

10 And I struggle with that, those three
 11 principles that are potentially at odds with each other.
 12 We all want in EMS to do what we're being told as far
 13 as, we got to get this patient from this hospital to
 14 this hospital. We got to do it now. You know, we can't
 15 fly helicopters in snow storms. We can drive. We'll
 16 drive behind that snowplow. We'll do everything we can
 17 to save that person and get them to that next level,
 18 right?

19 We've had these discussions around safety in
 20 the past, but ultimately our role and responsibility as
 21 a state EMS committee is to come up with rule and
 22 regulation to make the process fair and safe. And I am
 23 struggling with safety when it comes to ventilators in
 24 noncritical care transport or people that may or may not
 25 have a significant amount of experience with

Page 18

1 ventilators.

2 Just because a piece of equipment is on the
 3 optional list for the state does not necessarily mean
 4 that everyone needs to have that piece of equipment.
 5 There's a lot more to it, and I don't see that we've got
 6 a lot of regulation other than saying it's up to the
 7 local medical director to make sure that the training is
 8 good.

9 And we also have to have our department
 10 medical director, Dr. Taillac, who I do not see here
 11 today, sign off on the use of ventilators by EMS
 12 agencies. And so I am kind of at an odds. And so I
 13 open this up as a discussion, first among the committee
 14 and then anyone that is in the open forum here, to
 15 discuss points of view on this topic.

16 Because I struggle with having agencies be
 17 able to be trained to using a ventilator with -- without
 18 much regulation other than a medical director saying,
 19 yeah, they're okay. They've got it all figured out.
 20 Because I know as an ER physician that practices
 21 critical care, I am barely comfortable managing patients
 22 on ventilators.

23 And to say that to do it every now and again
 24 in the back of an ambulance with an agency, EMT,
 25 advanced EMT, paramedic or otherwise, is problematic

Page 19

1 without a lot more training than what we currently try
 2 to regulate in the state. So discussions?

3 **MICHAEL MOFFITT:** Yeah. I got a couple of
 4 comments. I agree with you. It's a very difficult
 5 skill set to maintain. We have been doing it clear back
 6 to, you know, the late eighties. We have developed from
 7 there over the years with our medical directors and with
 8 a lot of input from anesthesiologists, respiratory
 9 therapy departments, the best protocols that we feel we
 10 can develop.

11 I think as a company we transport patients on
 12 ventilators every day. Yet we still, we still utilize
 13 flight teams, Life Flight and Air Med, and we still
 14 sometimes take respiratory therapists from a hospital
 15 with difficult patients.

16 Being on a respirator is one thing that runs
 17 the gamut from, they just presented to the ER; they
 18 chuck them on a respirator, to someone's been vent
 19 dependent for days or weeks in the ICU. And people are
 20 very sensitive to that.

21 We have gone all the way from bagging patients
 22 en route to, you know, using very sophisticated
 23 ventilators nowadays. But it's very difficult to --
 24 it's a very difficult procedure to monitor and take care
 25 of, A, without enough people in the truck that know what

Page 20

1 they're doing. And to just say that anybody can go out
 2 and get a vent out of the catalog and watch some video
 3 and start doing that stuff, it gets a little scary.
 4 So I would -- I would think, you know, we
 5 done -- we have done things where we spent a lot of
 6 years working on a critical care certification in this
 7 state that I think addressed ventilators and respiratory
 8 stuff. That never got completed.
 9 I -- maybe it's time to have some guidelines
 10 at least drawn up as to what, what's required of an
 11 agency in their training so that medical directors of
 12 these agencies have a much clearer idea of what they
 13 need to train their people on and how they need to
 14 maintain that training.
 15 You know, I know years ago when we were doing
 16 intubations as an intermediate service in Uintah County,
 17 our medical director had to sign off on their skills on
 18 a mannequin every month just to do intubations. So
 19 there are some things out there that probably need to
 20 have some good oversight. Anyway, that's my two bits
 21 worth.
 22 **KRIS KEMP:** Other discussion points?
 23 **MARC SANDERSON:** I think there's definitely a
 24 time and a place and the need. I think it's all based
 25 on the patient's acuity. Those transports out of

1 facilities to get to a higher level, it frees up a
 2 paramedic in the back of the ambulance. If it's a tool
 3 and they are trained, and there's protocols and
 4 everything is in place, I would completely support
 5 paramedics using ventilators with some guidelines.
 6 **KRIS KEMP:** Other comments?
 7 **JASON NICHOLL:** I think guidelines and
 8 training are the big key, guidelines for how much vent
 9 is too much vent, for a paramedic. How -- what vents we
 10 can use. We know that, you know, there are dozens of
 11 vent styles out there that all have different
 12 capabilities, different quality.
 13 I mean, if you talk to a vendor, theirs is the
 14 best. You talk to the other vendor, and they will point
 15 out all the deficiencies of the other one. Now, I am
 16 not saying that the state should choose which vents.
 17 But I think that having the state, from perhaps an
 18 operations committee standpoint, look at it and say when
 19 is it appropriate for us to be using these vents and to
 20 what degree.
 21 I believe the operations is a very smart
 22 committee with very smart people, and they could help
 23 guide us in answering the question of how much vent is
 24 too much. Because that's what plagues me. There's a
 25 reason why there's pulmonologists and respiratory

1 therapists. They are specialists in this.
 2 A two week -- just to go with you, Dr. Kemp, a
 3 weekend course in vent does not an RT make. So I think
 4 that's one thing, and then like Mr. Moffitt said, the
 5 professional development, the training aspect is vital.
 6 And although there are multiple manufacturers of vents,
 7 vents primarily work the same way, primarily.
 8 And I think that professional development
 9 should be looking at what standard we, as a state, will
 10 adopt for the training on vents. Is it enough to have a
 11 20 minute video that's provided by the manufacturer to
 12 count for being able to be a vent specialist? I don't
 13 know. But I think professional development should come
 14 up with what they think we should be training so we have
 15 a standard of care when it comes to the operation of
 16 mobile or portable ventilators.
 17 **KRIS KEMP:** Okay. Other discussion points?
 18 **JASON NICHOLL:** Bob looks like he wants to say
 19 something.
 20 **BOB GROW:** I'm pensive, I guess. You know, as
 21 a medical director of two agencies that do interfacility
 22 transports with vents, I am thinking back over, you
 23 know, five year experience I have with them. And I
 24 can't recall a single episode, incident, problem. Chief
 25 Bauman, I mean, you want to pipe in? Have we had issues

1 with our vents in the last few years? I mean I don't
 2 recall any.
 3 **ERIC BAUMAN:** We haven't had issues, but I
 4 think -- I think the others bring up some really good
 5 points. And I think it's really training. We've done
 6 pretty extensive training both with vent manufacturers
 7 with respiratory therapists through Dr. Grow, and I
 8 think that's the real key.
 9 And we have done that yearly because it's a --
 10 I don't want to say a low frequency piece of equipment
 11 for us, but it's a medium frequency. So -- and there's
 12 a lot to it. And so we found just that, that an initial
 13 video or a short amount of training just wasn't enough
 14 for us to continue with feeling confident with it.
 15 So I think that's -- for us, the key has just
 16 been the continual training, and with that it's been
 17 very successful. And we, you know -- because we do the
 18 interfacility transports, and there's a percentage of
 19 patients that are on them, so...
 20 **BOB GROW:** Yeah. I mean, I think we're
 21 talking about the minority of agencies, right, that use
 22 vents as interfacility transports. I mean, if someone
 23 is coming in on a vent, primarily by occurrence, that's
 24 the rare occasion.
 25 I guess I am just -- I am wondering why, what

1 prompted the discussion for one. If there's been recent
 2 issues or if this is stemming from your work with the
 3 transport stuff with IHC.
 4 And two, I guess I am wondering, are there
 5 other areas with pieces of equipment, medications,
 6 protocols where we have gone beyond just leaving it up
 7 to the medical director and actually trying to dictate
 8 some type of guidelines? I mean, that seems kind of a
 9 first to me as I think about the other -- the paradigm
 10 we work within with these providers.
 11 But, you know, I agree. It would be nice if
 12 there was some type of critical care certification in
 13 the state. You know, in the absence of that, we have
 14 kind of taken that upon ourselves to do sort of critical
 15 care courses, primarily directed towards the guys that
 16 are doing -- guys and gals that are doing the
 17 interfacility transports, trying to make sure they feel
 18 capable and competent.
 19 And I think the other piece in the puzzle in
 20 all this, too, is realizing that, you know, putting a
 21 medic in the back of a truck with a ventilator, they are
 22 not isolated with that. Right? I mean, they have got
 23 an off-line medical director. They have got on-line
 24 medical direction both from where they are going from,
 25 to the receiving hospital.

Page 25

1 You know, they are not operating in a vacuum
 2 where they don't have assistance with a piece of
 3 equipment that may be beyond their scope. I mean, we
 4 send people on drips and pumps and stuff that are beyond
 5 the scope of most paramedics all the time. I mean, I
 6 don't know that we can set up guidelines and protocols
 7 to say, you know, for this particular pump or this
 8 medication, this is how you got to do it.
 9 **KRIS KEMP:** There are variances that you have
 10 to apply for, and anything that's outside the scope of
 11 certification, so using pumps and using drips that
 12 aren't on the approved --
 13 **BOB GROW:** You can't conceivably apply for a
 14 variance for every medication we send a patient out on
 15 that's being transferred, every type of presser, every
 16 type of --
 17 **MICHAEL MOFFITT:** Yeah. You guys come up with
 18 new stuff all the time.
 19 **KRIS KEMP:** They do because they have to have
 20 proof training.
 21 **MICHAEL MOFFITT:** I think the key is not to go
 22 back to agencies that have created a well grounded
 23 training system and have been doing this legitimately
 24 for years. But the prices are dropping on these pieces
 25 of equipment. The proliferation is now starting to

Page 26

1 spread to -- you know, the earlier discussion was, you
 2 know, it's a rural or remote service. They buy a vent
 3 for a thousand bucks, they watch a video, and now they
 4 are going to take a patient for an hour and a half to
 5 the front.
 6 Is that where you want to be? And in light of
 7 that, you know, seeing the tide rising, how do you
 8 mitigate it? And well, you just put into place some
 9 guidelines for training, you know, for the medical
 10 director so that some of us have already figured it out.
 11 Maybe we can put that in writing and have Dr. Taillac
 12 look at it, say, yeah, that's good so the people coming
 13 along and see, oh, this is how in-depth this training is
 14 and this is what you need to do. And what do you do
 15 when it doesn't work and those kind of things.
 16 So that their people have their training and
 17 comfort level as well and, you know, you can't
 18 protocolize every medication and everything that's going
 19 to come out of a hospital, but something as critical and
 20 low frequency as a ventilator.
 21 **JASON NICHOLL:** Temperamental.
 22 **MICHAEL MOFFITT:** And temperamental as well.
 23 Maybe there ought to at least be guidelines for the
 24 agency that's thinking about it to say, what do I got to
 25 do before I buy this piece of equipment and go from

Page 27

1 there.
 2 **KRIS KEMP:** So when I say that it's difficult
 3 for me to divorce a person, the patient from a piece of
 4 equipment like a ventilator, once a person's on that
 5 ventilator, there is a lot of things that are going on.
 6 You and I know this. On the front end and on the
 7 receiving end of patient transfers that, you know, ABG,
 8 a basic parameter of how well the person is being
 9 oxygenated, ventilated, before and after can answer a
 10 lot of questions about how well they did in the interim.
 11 That's why we do -- so many are repeated.
 12 When you say that you have not had an incident
 13 of a problem, no alarms have ever gone off? No lines
 14 have ever been obscured? You have never run out of
 15 oxygen? A patient has never had an ET tube dislodged?
 16 There's been none of that that's ever occurred on any of
 17 your transports of ventilator patients?
 18 Because I see that happen on a day in and day
 19 out basis in every emergency department across this
 20 country. Every experience that I have had with
 21 ventilators is, they are not just plug and go. It is
 22 not like a drip on a pump where if it starts to go bad,
 23 you turn off. There is a lot going on with ventilator.
 24 That's why it brings up some anxiety in my
 25 mind about just saying, it's on an option list and

Page 28

1 anyone can say, I have got a medical director that
 2 signed off on our training, and we as the EMS committee
 3 sit back and say, oh, yeah, we approved that those are
 4 on the option list, so all is well.
 5 And then it's up to Peter Taillac to say, they
 6 said they have got great training. I think that's fine.
 7 That's on the local medical director's liability then to
 8 do that.
 9 Well, I think that as this committee's primary
 10 responsibility is to keep it fair and safe for the
 11 entire state, I don't think we have answered that
 12 question. Personally, I do wear the hat of being a
 13 medical director for rural ground transport with nurses
 14 being involved with the local EMS. And it's working
 15 very well.
 16 This question keeps coming up with what about
 17 those ventilated patients in the middle of a snowstorm
 18 where we are not going to have a helicopter in for two
 19 or three days. We can't keep that patient here, you
 20 know, in whatever small facility that is. We have got a
 21 three hour drive to the next largest facility.
 22 What do we do? Do we wait for the flight team
 23 that's very used to using ventilators and very sick
 24 patients? Do we wait for them to drive down, pick up
 25 the patient, and then go back, which has been some

Page 29

1 practice? Other practices, as was pointed out before,
 2 that it's, you grab your local whoever and maybe a
 3 respiratory therapist and put them in and say, these are
 4 what the settings were, so this is what the settings can
 5 be during the transporting route. And say all is well
 6 if they receive on the other end a person that is still
 7 alive.
 8 The definition of, how is that patient may be
 9 as dependent upon simple parameters that we look at as,
 10 they are alive, and so all is well. But as was also
 11 pointed out, people on vents often deteriorate over
 12 time, and we may not know that just because they are
 13 looking at them and they are stable and they are
 14 breathing on their own or breathing with the vent and
 15 they haven't lost their oxygenation.
 16 There is more to it than just how they look on
 17 a monitor. There is the before and the after, and if we
 18 really dug in deep, and this is something that really
 19 hasn't been done, is what was your pretransport ABG,
 20 vital signs or otherwise? And what was your post
 21 transport? Was this a patient that was expected to
 22 deteriorate because they were dying anyway and they
 23 ended up expiring a few days later, a few hours later?
 24 Or was this something that we would expect
 25 them to be at least maintained, if not improved, just as

Page 30

1 if they were sitting in a facility that was being run by
 2 a respiratory therapist?
 3 And these are the questions that I am asking.
 4 I am asking because I would like to see it happen safely
 5 for all the agencies that I am involved with personally,
 6 all the ERs that I am involved with personally, and then
 7 all of the other agencies that this could be open to for
 8 the state because of the role here. So I am wearing
 9 multiple hats, and I just don't know if we have got the
 10 best system put together.
 11 Throwing regulation isn't always the answer.
 12 I totally admit that as well. But I think some
 13 guidelines from agencies that are doing this will help
 14 any future agencies that want to, as their populations
 15 grow and their acuity grows and they find the need and
 16 the necessary -- you know, they find it necessary in
 17 their community that they want to bring this on line. I
 18 think there needs to be some level of guidance, and
 19 that's where this is coming from.
 20 Did you have a point?
 21 **JACK MEERSMAN:** Jack Meersman, Gold Cross
 22 Ambulance. When I was chair of the professional
 23 development committee, we actually created the 84 hour
 24 critical care certification, template. But it was
 25 turned by the state that that's all we -- they needed --

Page 31

1 we needed to create for CMS for agencies that you have
 2 to show that you taught so CMS would allow for that
 3 upper level billing for using the Benzol and stuff.
 4 The education standard's out there. Actually
 5 ventilators are actually in the basic advanced EMT and
 6 paramedic national standard curriculum.
 7 (Reporter asked him to repeat.)
 8 **JACK MEERSMAN:** So we developed a critical
 9 care transport curriculum for -- (inaudible.)
 10 And then the national standard curriculum
 11 actually has an EMT, advanced EMT and paramedic and
 12 ventilator usage. And so it's based on what you're
 13 coming from and where you are guys.
 14 How good are we at using these tools? How
 15 often are we training on them? So the standards are out
 16 there. It's just, do we want to regulate it? Or how do
 17 we regulate it? So the basis is there.
 18 I think it's a good direction to go, but I
 19 just wanted to make the committee aware of that. This
 20 has actually been passed through this committee probably
 21 six years ago, that there is this curriculum that was
 22 developed and approved that just sits on the shelf which
 23 I still happen to have on my shelf, so I could send it
 24 to you.
 25 Because it was something that we worked hard

Page 32

1 on for years to develop that as a certification. And
 2 that would be a good place to go is to move that forward
 3 and bring it out because there's validation through the
 4 flight paramedics. Some national flight paramedics
 5 association has the exams that you can base it off of.
 6 I know several universities have been teaching the
 7 critical care concept courses through UMBC here in Utah.
 8 So it's like, the foundation has been set. We
 9 just need to have the regulation to say, yeah, if you
 10 are going to do this, this is where you go with it. And
 11 then those people can do it. And we have the quality
 12 assurance to do it.
 13 Because would I agree with Eric on issues.
 14 There aren't any adverse events is how we look at it.
 15 So yeah, there's been blocked tubes, dislodged tubes
 16 that have been corrected, but the paramedics were
 17 skilled enough and have the training to correct them.
 18 So it doesn't show up as an adverse event to me, as a
 19 quality assurance person, because the problem was
 20 corrected.
 21 If I get the call that, hey, this patient
 22 desatted and passed away because a tube is discharged,
 23 that's an adverse event that's going to be reviewed by
 24 myself, medical director and QA department. So I agree
 25 with your statement like, did anything -- these things

Page 33

1 happen? It depends on how the agency defines an adverse
 2 event. Does it have to result in fatality or harm to
 3 the patient, or just this happened and that's an adverse
 4 event? So...
 5 **KRIS KEMP:** Great.
 6 **JACK MEERSMAN:** That's all I have.
 7 **KRIS KEMP:** Can you send that to Guy, if you
 8 still have a copy of it? Because we brought this up in
 9 the executive session where these critical care
 10 transport certification guidelines were. We knew that
 11 they existed somewhere. It was a little bit of an
 12 enigma, so I'm glad you have got access to them because
 13 we need to dust it off.
 14 I think that -- you know, this comes up about
 15 every couple of years where someone has questions
 16 regarding something along these lines, and I think the
 17 state's kind of getting to that point where we need
 18 something formalized.
 19 **JACK MEERSMAN:** I'll send them to Guy.
 20 **KRIS KEMP:** Great, thanks. You had a
 21 question.
 22 **KYLE LINDSEY:** Yeah, Kyle Lindsey, Logan Fire
 23 Department. I have also worked for AirMed as a flight
 24 medic about eight, ten years.
 25 Just a couple quick questions. And Dr. Kemp,

Page 34

1 every experience you noted with the ventilator, I have
 2 had those failures. But most of them have been in a
 3 helicopter, you know. But you kind of got to work
 4 through them. And I think the guys on the ground would
 5 work through them, and eventually when all else fails,
 6 all roads lead to bagging.
 7 And I don't know if that's -- I'm like you. I
 8 wish we had ABGs before and after because I don't think
 9 you can mark a critical event just by somebody
 10 desatting. We -- you know as well as I do, we can take
 11 you in with good SATS and not know the harm we have
 12 done.
 13 My question is, I think we just need to define
 14 the simplicity events. Most of the vents in the EMS
 15 system on the ground are simple volume control vents.
 16 Stuff that you fly with would be more pressure support
 17 type vents. A volume control vent, the one we have at
 18 Logan is literally three dials. The only three
 19 adjustments you can do is title, volume, rate and adult
 20 or pediatric. Those are the only three adjustments you
 21 can make.
 22 Now, that's not a fine tune vent. You
 23 wouldn't want to take an ARDS patient, somebody that's
 24 really sick. That vent is not sustainable for them.
 25 So I would like them to maybe, when they are

Page 35

1 developing training or whatever, I don't know how we
 2 break that down to say, a simple auto vent 4000,
 3 honestly, I can train you in an hour. I mean, there's
 4 only three dials.
 5 You know, I can't train you a whole lot longer
 6 than an hour on auto vent 4,000. I can tell you how to
 7 convert weight to kilograms and 6 to 8 mills per kilo.
 8 But I mean, it's pretty simple. Now, a pressure support
 9 vent, you know, I have been using them a long time, and
 10 I have screwed up a lot with them. And I have had a lot
 11 of success with them too. I don't want to tell on
 12 myself.
 13 But I have seen them fail in every manner, and
 14 so I think training would be good. But I think maybe
 15 looking at what types of vents we're going to let these
 16 people use is pretty important because that kind of --
 17 you know, simple volume control vent is pretty standard
 18 and would be easy to use in the field.
 19 And then the only problem we're having in
 20 Logan is, so the rural ground transport team, our nurses
 21 there's some concern over, so we're going to send our
 22 paramedics with a vent. The nurse, who is ultimately in
 23 charge of the patient, is not familiar with a ventilated
 24 patient, would like an RT with them. So we're just
 25 looking for direction eventually from them.

Page 36

1 We haven't implemented our vents. We have
 2 purchased some and I have done some training, but we're
 3 just trying to get ahead of the curve and then we'll see
 4 whatever everyone recommends and go that route. But
 5 that's just my experience with them, and I appreciate
 6 you guys taking a look at it.
 7 **KRIS KEMP:** Great. I'd like to get with you
 8 after if you don't mind as well.
 9 **KYLE LINDSEY:** Okay. I might have to bug out
 10 early.
 11 **KRIS KEMP:** Okay. Other comments in this
 12 regard?
 13 **WAYNE EDGINTON:** Yeah.
 14 **KRIS KEMP:** Please.
 15 **WAYNE EDGINTON:** Wayne Edginton from South
 16 Jordan Fire. We also do interfacility transport. We do
 17 it from South Jordan Health Care up to the university
 18 facility. We carry the same auto vent. I think ours is
 19 the 3,000. But it is an excellent stopgap. We are not
 20 taking people -- this is a broad scope discussion.
 21 We are not going to an ICU. There's no ICUs
 22 out there in that facility, and so all of the mixtures
 23 and the things that really you have to deal with a more
 24 sophisticated vent, we don't deal with. But our
 25 transfers are only still 20 minutes, and it's a great

1 stopgap.
 2 We have done it in good weather and bad
 3 weather, whether the helicopters could fly or not,
 4 depending on that sending physician's opinion on the
 5 condition of the patient. It works really well. We
 6 haven't had any adverse effects with that type of pump.
 7 So I love this discussion. I just think the
 8 committee needs to realize there's -- it's so broad
 9 scope between rural and urban and ICU, and maybe just
 10 the ED needs to get them to the other ED. So I would
 11 just -- if you are looking at this, kind of look at all
 12 of those aspects of the transport.
 13 Would it behoove me to train all my guys in
 14 vent settings and everything like that? No, it doesn't.
 15 I have actually encouraged them to put the auto vent on
 16 a cardiac arrest. Free up a hand who is bagging, and it
 17 would work very well in that situation.
 18 And again, it's a rate, volume, adult,
 19 pediatric vent. And I think most of Eric -- I don't
 20 know what you guys are using, but I think there are also
 21 a variety of vents from that simplicity to, you know, 20
 22 dials on there that honestly, yeah, you are absolutely
 23 right. We don't have a clue on how to dial that patient
 24 in.
 25 Before we leave, we talk to the respiratory

1 therapists at the hospital, get the vent settings. If
 2 they need to be on PEEP, you can do that. And then we
 3 send them off. And again, it's 15 or 20 minutes that
 4 they are on it. So ABGs from before and after, I don't
 5 know if they are getting them or not.
 6 **KRIS KEMP:** Go ahead.
 7 **MICHAEL MOFFITT:** I think, Jack, correct me if
 8 I'm wrong, but I think the guideline -- training
 9 guidelines that were created a few years ago kind of
 10 cover all different level of vents, because we use three
 11 different levels now. And so it doesn't exclude or say
 12 you got to have this many dials or do this feature. It
 13 teaches more theory and how to take care of your
 14 patient.
 15 You remember the old school stuff, rather than
 16 just plug them into a machine. And I would actually --
 17 I'd like to make a motion that we get and distribute the
 18 training guidelines that were produced and have our
 19 professional development committee look at it and see if
 20 it's current and updated, that maybe we could just have
 21 guidelines out there that people can refer to, medical
 22 directors can refer to, that can help shape training so
 23 that at least --
 24 And I'm certainly in no way trying to limit
 25 anybody, either to tell what vents you got to use or say

1 you can't use it in a certain situation. But I think
 2 what, as a committee our responsibility is to make sure
 3 that state-wide everybody is at least training at the
 4 same level. Maybe not off the same page, but that, you
 5 know, you are getting the same stuff through your
 6 medical directors.
 7 And you know, I'd just like to -- so my motion
 8 is that we dust off the old critical care training and
 9 have the professional development committee take a look
 10 at that and see how relevant it is today and make a
 11 recommendation back to our next meeting.
 12 **KRIS KEMP:** I think we are in the
 13 informational, so we can make assignments.
 14 **MICHAEL MOFFITT:** Assignment, okay. Instead
 15 of a motion, an assignment.
 16 **KRIS KEMP:** Okay. And we're making note of
 17 these, Guy, as well?
 18 **GUY DANSIE:** Sure.
 19 **KRIS KEMP:** I want loop closure on this, and
 20 sometimes our assignments have been a little bit vague,
 21 and I want to make sure that we've got pretty good
 22 communication and loop closure on this.
 23 **GUY DANSIE:** Noted. We have our --
 24 **KRIS KEMP:** We don't have our rural person
 25 back there?

1 **GUY DANSIE:** Eric is our -- and Chris deal
 2 with our operations, and Jack so...
 3 **KRIS KEMP:** Right, right.
 4 **GUY DANSIE:** They're all involved in that.
 5 **KRIS KEMP:** Good. We'll make sure these
 6 assignments get documented well. But does anyone have a
 7 rural perspective, someone that, you know, perhaps not
 8 necessarily, you know, an hour plus transport to
 9 interfacility? Logan probably does, but even more rural
 10 perhaps?
 11 (Discussion off the record about coming to the
 12 front.)
 13 **TAMMY BARTON:** Tammy Barton, Garfield County
 14 ambulance. Very rural. We don't use vents. If we take
 15 a patient on a vent, our anesthesiologist goes with us,
 16 so we don't really have a dog in the fight. I don't
 17 know if a lot of the rural places do, as far as what you
 18 are talking about. So that's kind of our perspective.
 19 **KRIS KEMP:** Were there to be some guidance in
 20 training, would that be something that would be --
 21 something your agency would be entertaining at all?
 22 **TAMMY BARTON:** Probably not. You know, when
 23 you first brought this up, I thought in my last -- oh, I
 24 don't know, seven or eight years, as I am thinking back,
 25 two cases. Yeah. So not really, not really for us.

1 **CHRIS DELAMARE:** That was on an M tank. So
 2 the big, the tall, 2200 pounds psi.
 3 **KYLE LINDSEY:** Okay. My confusion was, I
 4 thought an E tank would last about 45 minutes. Just
 5 running the math, or 540 and -- or 340 liters. So that
 6 would be 34,000 and you are delivering 6 mills. I could
 7 be wrong. I thought an E cylinder would last 45
 8 minutes, so I would think your cylinder would last
 9 longer than that.
 10 **CHRIS DELAMARE:** Yeah, two and a half hours.
 11 **KRIS KEMP:** And then again, back to the vent
 12 discussion. There are vents that were piston drive and
 13 not pneumatic driven, and they are much more sparing on
 14 a rig's individual O2 requirement. What's that one you
 15 were talking about that's like that 65 disposable?
 16 **MARC SANDERSON:** Yeah, it's a brand-new
 17 device. It's just barely out on the market. Single
 18 patient use, billable to the patient. Throw it away
 19 when you are done, and it's an amazing piece of
 20 equipment. I will have some information for us, for
 21 everybody next meeting.
 22 **KRIS KEMP:** Please do. And do you know if
 23 it's pneumatic or if it's piston driven?
 24 **MARC SANDERSON:** I think it's pneumatic.
 25 **KRIS KEMP:** Okay. All right. Well, thank you

1 I don't know. I can't speak for all rural,
 2 but it really -- if a patient is that critical, they
 3 come in. The helicopter or plane comes in, and they are
 4 taken care of at the hospital until it happens.
 5 **MICHAEL MOFFITT:** The big driver on transport
 6 time or rural distance is oxygen capacity, because they
 7 burn a lot of oxygen and compressed air. And Jack,
 8 what's our range? I mean, we have gone to Montana
 9 before with a vent, but that's taken --
 10 **CHRIS DELAMARE:** Well, we just did one today
 11 from Pocatello down, so on an M tank on your vent, it
 12 would last two and a half hours, so if you had a full M
 13 tank. H tank is probably four hours and our --
 14 **KRIS KEMP:** And what was your name again for
 15 the record?
 16 **CHRIS DELAMARE:** I'm Chris DeLaMare, Gold
 17 Cross Ambulance.
 18 (Discussion off the record about identity of
 19 speakers.)
 20 **KRIS KEMP:** Okay. Well, I think we've got
 21 enough to make an assignment. Do you have one last
 22 comment?
 23 **KYLE LINDSEY:** Well, this is Kyle Lindsay
 24 again. I was curious, what size tank was that? How
 25 many liter tank?

1 for that discussion. I think it's important to bring
 2 up, and you know, it's all about the patient safety.
 3 And I think we're all in it for the same reasons. It's
 4 just, I think, better definition and guidance is really
 5 what we are looking for.
 6 One add to our informational items was -- is
 7 Clare still here? Clare, you are in the back. Was a
 8 discussion about IA and variances. For some reason you
 9 didn't make it onto the informational items agenda. But
 10 we opted to add you during the executive session just so
 11 that we could have this discussion because I know it was
 12 supposed to happen. It just didn't make it be into this
 13 agenda before Suzanne left.
 14 So Clare, do you want to present to us about
 15 the IA variances and system? Come on up, Clare, front
 16 and center.
 17 (Discussion off the record.)
 18 **CLARE PROVOST:** I didn't know that I was going
 19 to be presenting today so...
 20 **SHARI HUNSAKER:** Surprise.
 21 **CLARE PROVOST:** Thanks for letting us be on
 22 the program.
 23 **KRIS KEMP:** And Don Murelli.
 24 (Discussion off the record about speaker
 25 identification.)

1 **KRIS KEMP:** Clare, some background.
2 **CLARE PROVOST:** So we, myself from Wasatch
3 County, Don from Carbon County, we're the only two
4 agencies in the state that moved forward with the
5 intermediate advanced certification. So in meeting with
6 Guy and Paul Patrick with Dr. Kemp, Don and I, we felt
7 like that we'd like to continue that. And yet, it's
8 kind of a certification that the state has kind of
9 let -- we're kind of a -- am I recorded? We're kind of
10 a bastard child.
11 Anyway, with all due respect, we felt like
12 we'd like to continue to be able to do those things that
13 we have done as an intermediate advanced agency. And so
14 in meeting with Guy and Paul, we asked for a waiver to
15 be able to continue to do those things that we have done
16 in the past as intermediate advanced. So they felt like
17 what we should do was present to, I don't know which
18 committee, a waiver requesting that we're allowed to
19 continue to do that in the future. Was that --
20 **KRIS KEMP:** Did you have something, Don?
21 **DON MURELLI:** I'm not sure if it was corrected
22 as a waiver. I think it was just a suggestion to the
23 state because it didn't have to go through a committee;
24 is that correct?
25 **GUY DANSIE:** What was the question? Sorry,

Page 45

1 Don, I was --
2 **DON MURELLI:** As far as, we don't really need
3 a waiver to ask to continue because it's already in the
4 rule that we are there.
5 **GUY DANSIE:** I think the issue was, if we take
6 the license level from an intermediate advanced to an
7 advanced EMT license level, to get you in the same
8 license group of agencies; you know, same as everybody
9 else, standardize your license level, that you would
10 still be allowed to perform those things, and that's
11 really the thing.
12 We would have to get a waiver from the
13 committee, from my understanding, to allow -- to do
14 things beyond their normal licensure level, and probably
15 Dr. Taillac's approval as well. We wanted to bring it
16 here to discuss it openly and make sure that we do it
17 clearly in a way that meets everybody's needs.
18 **KRIS KEMP:** So in history, this was something
19 that was initially offered by the state. Your two
20 agencies took the state up on it. That process became
21 IA, where other agencies opted not to do that, and then
22 as the state decided to go to a different national
23 certification level, it left you two out as
24 grandfathered, as was promised in rule, you would be
25 grandfathered as intermediate advanced. You could

Page 46

1 continue your agency in that regard.
2 The challenge comes from, there's no
3 additional support from the state to continue that
4 process for you. And so from what I understand, you
5 want to certify all of your members as advanced, ask for
6 waivers to get to the intermediate advanced so you can
7 continue to train new members to intermediate advanced.
8 But yet, if they move to a different agency or
9 different state, their certification would carry with
10 them as an advanced EMT and not an enigma of what an
11 intermediate advanced, which is not well understood
12 among other agency or states. Am I --
13 **CLARE PROVOST:** That's correct.
14 **DON MURELLI:** Correct.
15 **KRIS KEMP:** -- describing that? So what you
16 have for us is essentially a request for a waiver.
17 **CLARE PROVOST:** Right.
18 **KRIS KEMP:** To be able to go to advanced for
19 your agency but apply intermediate advanced skills and
20 training for each of your members.
21 **CLARE PROVOST:** So as far as the state is
22 concerned, we would be advanced intermediate. But
23 within our communities and our counties, we would
24 maintain that intermediate advanced level. Does that
25 make sense?

Page 47

1 **KRIS KEMP:** So you're advanced EMT, but your
2 communities are being treated by EMTs that are of what
3 was described as an intermediate advanced.
4 **CLARE PROVOST:** Correct.
5 **MICHAEL MOFFITT:** Question for Guy.
6 **GUY DANSIE:** Sure.
7 **MICHAEL MOFFITT:** Under our waiver rules, this
8 is -- since this isn't staffing or anything, don't they
9 just go through the bureau and Dr. Taillac? It doesn't
10 need to come -- this is just like adding a new truck.
11 **GUY DANSIE:** Yeah. I would have to ask
12 Brittany honestly, who has the power or authority to
13 grant that.
14 **MICHAEL MOFFITT:** Really all they are asking
15 for is a couple of advanced procedures over and above
16 the advanced.
17 **GUY DANSIE:** What I would like to suggest is
18 that we actually spell out what those differences are,
19 maybe like a --- would that be appropriate? To say what
20 those things are that you need to have as a waiver, and
21 then we'll ask Brittany is what -- legally if we need to
22 go through a department approval or a committee
23 approval.
24 Typically we do the licensing end. The
25 criteria is set by the committee for training, staffing,

Page 48

1 those types of things. So we wanted to make sure that
 2 the committee knew what we were doing and do it in a way
 3 that was -- you know, that everybody understood why we
 4 were doing it. So that's why we brought it as an
 5 informational item at this time.
 6 And we're still working on this. Still work
 7 in progress, but it sounds like if we're ready to move
 8 forward, we'd like to have the committee's blessing on
 9 it and then move forward by getting those things
 10 delineated, the waiver, the things that the intermediate
 11 advanced perform above and beyond what an advanced EMT
 12 performs.
 13 And then we can then go ahead, and if it's
 14 okay with Brittany and the department, we would go ahead
 15 and approve that. We would just change the license to
 16 an advanced EMT and allow you to, with Dr. Taillac's
 17 approval, allow you to continue the practice that you
 18 are doing with your unique situation.
 19 And then anybody that's an advanced, or excuse
 20 me, intermediate advanced would be allowed to transfer
 21 if they would like at that certification level of
 22 advanced or EMT.
 23 **DON MURELLI:** Right.
 24 **GUY DANSIE:** Did I say all that right?
 25 **DON MURELLI:** I think that's correct.

Page 49

1 **GUY DANSIE:** Okay. And we'll work off-line
 2 with this, because we wanted to bring it out, see what
 3 the feelings were of everybody, make sure everybody is
 4 okay and understood why we're doing it. Do you have
 5 anything else, Mike?
 6 **MICHAEL MOFFITT:** I don't -- I understand. I
 7 know what you are doing. They kind of got cut off, cut
 8 the branch behind them, and they are hanging out there,
 9 no way back. The only thing I would like to see is that
 10 your IA program stays in conformance with what was the
 11 IA program, not something -- sliding off, well, that was
 12 too hard. We are going to kind of morph into something
 13 new. As long as it's consistent then -- and you know,
 14 and you waiver up to it, then fine.
 15 **CLARE PROVOST:** Yeah, and I think that's all
 16 that Don and I are asking for is that, you know, we took
 17 the time to achieve that certification. We just want to
 18 be able to continue to provide that level of service for
 19 our community.
 20 **MICHAEL MOFFITT:** Yeah. I think -- I mean, as
 21 far as I understand, unless something I am not seeing in
 22 the rules is, that would just -- once you get, this is
 23 where -- this is the waiver we want. Here is the
 24 differences between the two certifications. This is
 25 what we want to do above. Then I think that -- I could

Page 50

1 be wrong, but I think it goes through the bureau and
 2 Dr. Taillac.
 3 **GUY DANSIE:** I am pretty sure it does too. We
 4 just wanted politically to make sure everybody
 5 understood where we were coming with.
 6 **MICHAEL MOFFITT:** As one member of the
 7 committee, I am okay with that.
 8 **KRIS KEMP:** And again, the reason behind it is
 9 that they were grandfathered into it, and that was a
 10 promise from the department and from this committee for
 11 years that we wouldn't take away what they worked so
 12 hard to gain. But this just helps them fit in better
 13 with the rest of the state as far as the advanced level,
 14 and then they waiver up for those intermediate advanced
 15 skills.
 16 And on the only reason why they would be
 17 granted this waiver and other agencies wouldn't is
 18 because of the grandfathering that they had of being an
 19 IA agency to begin with. Whereas no other agencies got
 20 that.
 21 So it's not like today, one other agency that
 22 wasn't Wasatch or Carbon County, they couldn't just come
 23 in and say, "Well, now I want to do the same thing that
 24 you guys are doing." Well, that's not what was promised
 25 both in history and in rule. Okay. Great. Thanks.

Page 51

1 **CLARE PROVOST:** Thank you.
 2 **KRIS KEMP:** Disease testing of individuals
 3 exposed to blood-borne pathogens. Guy.
 4 **GUY DANSIE:** Okay. Intermountain Health Care
 5 approached the department recently about some of our --
 6 their concerns with blood-borne pathogen exposure.
 7 Originally some of the rules that were written were put
 8 under the labor, and they're not under the Department of
 9 Health's rules, which is okay. It was primarily
 10 addressing reimbursement costs for individuals that are
 11 exposed on duty to blood-borne pathogens and how that
 12 would be paid.
 13 I have contacted them to discuss possibly
 14 updated or revising those rules as needed. We have also
 15 taken this to our rules task force and had a discussion.
 16 And we wanted your blessing and have you understand what
 17 the issues are.
 18 Some of the concerns are that the intake form,
 19 the processing form, is dated or has problems. We need
 20 to update that. Also, the legal system is quite slow to
 21 get a court-ordered test if needed, if a patient refuses
 22 to submit a blood sample. That's actually -- I found
 23 out just last week that that's being addressed by a
 24 house bill. I believe it's 68, being sponsored by
 25 Dr. Redd from -- Representative Redd from Cache County.

Page 52

1 And so we're looking at that and hoping that
 2 will alleviate some of the concern. I just wanted to
 3 bring it to your attention if anybody has any concerns
 4 or issues.
 5 Part of the issue that was discussed is
 6 notification process. That's not very clear in most
 7 people's minds how the notification and being HIPAA
 8 compliant, how that works. Who is to be notified by the
 9 lab or whoever does the testing and how that chain of
 10 notification takes place to the individual that was
 11 exposed. So those are some of the issues we are
 12 grappling with.
 13 We just wanted to just put it down on paper.
 14 It has -- I believe it was a policy or something that
 15 existed somewhere sometime ago. But it's become so
 16 dusty, nobody knows what that is any more. So we wanted
 17 to put together some type of guidelines, guidance or
 18 possibly change some rules to make that clearer and
 19 updated. So...
 20 **KRIS KEMP:** Okay. Any questions in regards to
 21 this point?
 22 **GUY DANSIE:** I might -- we would look at that
 23 with the rules task force. But it obviously goes
 24 probably as an operational issue as well. And if that's
 25 okay with the committee, if there's some things that

Page 53

1 spill over, we could task that to operations, if that's
 2 okay. If we could as we start to dig into it.
 3 **KRIS KEMP:** Any concerns or questions from the
 4 committee? Okay. Anything else on that, Guy?
 5 **GUY DANSIE:** No.
 6 **KRIS KEMP:** All right. Fiscal reporting
 7 guide, Alisa.
 8 **ALISA HARDIN-LAPP:** Yeah. My name is Alisa,
 9 A-L-I-S-A, Hardin-Lapp, H-A-R-D-I-N, hyphen, L-A-P-P.
 10 And I am in financial resources with the Bureau of
 11 Maternal and Child Health. And primarily I work with
 12 the WIC program. However, due to a recent internal
 13 audit of the EMS fiscal reporting guide, I am going to
 14 be taking over Allan Liu's responsibilities on the FRG
 15 and also creating this year's ambulatory rates.
 16 So we had a few changes made from suggestions
 17 from our internal state auditors, and I just wanted to
 18 go over the new FRG that you guys will be filling out.
 19 You can actually find the new FRG on the EMS website,
 20 and I also created a sheet that just explains what we
 21 have changed exactly.
 22 So first of all, we have added a glossary.
 23 This is just to really clarify the line items on the
 24 FRG, both old and new line items. We have also added
 25 three new revenue categories. So on the previous FRG,

Page 54

1 we recognized that we were only recognizing one revenue
 2 stream, which was billed transports.
 3 And we realize that EMS agencies collect other
 4 revenue streams other than billed transports, so we've
 5 added EMS grant revenue, interest and special events.
 6 And then we have also added just another category where
 7 you can fill that in if that applies to you.
 8 We have condensed some expense categories and
 9 then also added two new expense categories. So we have
 10 added depreciation and then medicaid assessment.
 11 The most significant change, I think, I feel
 12 that we have added is an asset column at the bottom. So
 13 for our net income limit testing, previously we were
 14 only testing gross revenue. And we -- the auditors
 15 found that we also need to be testing the return on
 16 assets ratio. So that's why we have added that
 17 information on there.
 18 And then lastly we have added just a signature
 19 line at the bottom. This is really just for
 20 accountability purposes, and it also gives me the
 21 information I need of who to contact if I have any
 22 questions.
 23 **KRIS KEMP:** Okay. Any questions?
 24 **RUSSELL BRADLEY:** Is this stuff available on
 25 the website?

Page 55

1 **ALISA HARDIN-LAPP:** It is. All of it's on the
 2 EMS website.
 3 **SHARI HUNSAKER:** I had to reboot my computer,
 4 so I am getting you there.
 5 **KRIS KEMP:** Oh. So you were going to
 6 demonstrate this. Okay.
 7 **ALISA HARDIN-LAPP:** It's under the EMS laws
 8 and rules tab, so if you just click on that. It
 9 replaced the old FRG, and my contact information is also
 10 listed on there. So if anybody has any questions about
 11 the new changes, you can feel free to contact me.
 12 **KRIS KEMP:** Okay. Anything else, Guy?
 13 **GUY DANSIE:** I just wanted to compliment
 14 Alisa. She is new to the process, and she's jumped
 15 right in and taken this by the horns. This was one of
 16 the things that we were obligated by an audit, like she
 17 mentioned. And I just wanted to appreciate her publicly
 18 and let you know that we support her.
 19 And if there's anything that anybody feels
 20 strongly about, please get with us, and we'll discuss
 21 that and consider, you know, it. Or if there's points
 22 that are not clear or, you know, in any way that we can
 23 help you move through this change, let us know. So
 24 thanks.
 25 **TAMMY BARTON:** I have a question. Tammy

Page 56

1 Barton, Garfield County ambulance. But we still have
 2 the same time frame to submit everything.
 3 ALISA HARDIN-LAPP: Right. So this new FRG is
 4 effective as of January 1. So those of you with the
 5 fiscal year end date of 12-31, you will use this new
 6 form. And then there are still some agencies with their
 7 fiscal year end June 30th. The reports that were due on
 8 September 30th, there are still some that have not been
 9 submitted, so they will also be required to use the new
 10 form.
 11 KRIS KEMP: Okay. Any other questions? Thank
 12 you.
 13 ALISA HARDIN-LAPP: Okay.
 14 KRIS KEMP: Allan, EMS grants information.
 15 ALLAN LIU: Good afternoon. My name is Allan
 16 Liu, here at the Bureau of EMS. I am here to talk about
 17 --
 18 (Mr. Liu was asked to speak up.)
 19 ALLAN LIU: Here to talk about grants for
 20 fiscal year '17. The deadline for per capita is January
 21 29th. And for competitive grants for fiscal year '17,
 22 the deadline is March, March 28th. It's on the bureau
 23 website.
 24 Anybody who applies for per capita or
 25 competitive will get 1500 for CME. It's a flat 1500 for

1 CME. We used to give CME in competitive grants, and
 2 since we always give it, we just -- it's a flat given.
 3 And on the competitive we only have defibrillators or
 4 medical equipment to compete for. We don't have the
 5 funds, unfortunately, for ambulances or for
 6 communication equipment, other items that we used to
 7 have. So I just want to let people know. We did send
 8 out rosters to EMS agencies, and the deadline is January
 9 29th for per capita.
 10 I want to talk now about 2016, our current
 11 grants right now. Those agreements have been sent out.
 12 For reimbursement for per capita or competitive, we need
 13 receipts. Some people have received per capita assuming
 14 they will automatically get money, a check in the mail.
 15 We need to have proof that items purchased is for EMS
 16 because a lot of EMS agencies are aligned with fire, and
 17 fire equipment is not allowed. Only EMS equipment.
 18 This is to help the EMS system.
 19 That's all I had. I just wanted to remind
 20 people that. Any questions?
 21 KRIS KEMP: No. Okay. Thank you. Template
 22 for guidance for cost, quality and access for licensure
 23 process. Jason.
 24 JASON NICHOLL: Okay. As Eric brought up, the
 25 operations committee was looking at the cost, quality

1 and access goal for ground ambulance providers. The new
 2 version, I believe, that you have now is R 426-3-600.
 3 Slightly different than the 1200 that was the R 264-1200
 4 that was passed out at the operations meeting, but still
 5 has primarily the same information.
 6 This particular rule stems from statute Title
 7 26-8a-408-7, which essentially says that the, the
 8 municipal or the city, the municipal, the political
 9 subdivision shall establish cost, quality and access
 10 goals and submit to the department.
 11 That's it. It's one sentence. Has nothing to
 12 do with agencies whatsoever. And the rule that was then
 13 subsequently written is basically an inventory for
 14 agencies. Asks for a ton of data that's provided
 15 elsewhere by an agency, and essentially can only be
 16 filled out by a provider agency.
 17 It doesn't have anything to do with the
 18 particular political subdivision's cost, quality, or
 19 access goals for EMS.
 20 Now, I realize that most political
 21 subdivisions will ask their providers to write goals,
 22 and they will get turned in. But that doesn't always
 23 happen that way. I want to use a hypothetical where,
 24 say, the -- there's a political subdivision that doesn't
 25 provide emergency medical services. They contract for

1 emergency medical services. And they have one line item
 2 expense within their budget for emergency medical
 3 services.
 4 Say the United Fire Department works with
 5 Sprucewood Heights City. Well, Sprucewood Heights
 6 contracts with the United Fire Department for service.
 7 They don't provide the service. So the agency that
 8 provides the service doesn't have to set cost, quality,
 9 and access goals. The municipality does. The
 10 legislation is quite clear on this.
 11 So when this came out, it seemed like
 12 something that was really missing the point of cost,
 13 quality and access goals. I asked that it be brought
 14 back here. All of you members of the committee have the
 15 proposed adjustments that I wrote that just simply
 16 outline a cost goal that needs to be set, a quality goal
 17 and an access goal that needs to be set. That's it.
 18 And it should be done by the municipality or
 19 the political subdivision, not the agency. So I think
 20 that this satisfies what the legislation is, doesn't
 21 create an overreach and doesn't create a work burden for
 22 providing data and information that a municipality may
 23 not be able to provide.
 24 So what I ask is that we ask the bureau to
 25 take the language that I have written, modify R426-3-600

1 and then bring a modified R-426-600, sorry, 3-600 back
 2 to the committee for the next meeting so that we can put
 3 it out to public comment. That's it. Questions?
 4 **KRIS KEMP:** Anyone from the open forum? Want
 5 us to repeat all that? You can read the transcript.
 6 **SHARI HUNSAKER:** Quite eloquent.
 7 **KRIS KEMP:** Okay. Thank you, Jason. I think
 8 that that assignment can be made.
 9 **GUY DANSIE:** Yeah, for the department, we will
 10 do that. That's not a problem.
 11 **JASON NICHOLL:** Excellent.
 12 **KRIS KEMP:** Great. Demo on EMS mapping tool,
 13 Shari.
 14 **SHARI HUNSAKER:** Aren't you glad that I came
 15 today?
 16 **KRIS KEMP:** Yes, we are.
 17 **SHARI HUNSAKER:** The meeting would be so much
 18 shorter without me. Okay. So at the last meeting, I
 19 was supposed to do this demo, and I spaced it. And so I
 20 am doing it today. Good luck reading the monitors, but
 21 there is a handout available for everybody that is here
 22 on the user's guide. But I am going to show you how to
 23 access the user's guide from within the mapping tool.
 24 The one thing that has not been updated on the
 25 user's guide on the website is the header of the actual

1 **SHARI HUNSAKER:** Nationally, no. So I just
 2 need some direction, when that's all nailed down, how
 3 you want them classified. But I'm going to address that
 4 a little bit later.
 5 So if I look for the EMT basic agencies, this
 6 data table below changes. So you can now see a list of
 7 all of the agencies that are EMT basics. If you input
 8 an address -- well, hang on a second. Let me do this
 9 first. I need to reset. I am going to reset the map
 10 and clear my filters. And then I am going to put in an
 11 address and click on find.
 12 Oh, so old. Hello. I don't even know my own
 13 address. The map is going to indicate where that
 14 address is located and then update the data list with a
 15 list of any agency that may respond to a call at that
 16 particular address.
 17 Now, I want everyone to write that address
 18 down and send me a Christmas card next year.
 19 **MICHAEL MOFFITT:** You are brave using your
 20 own. Do you want to put your cell phone number up
 21 there, too?
 22 **SHARI HUNSAKER:** You know, I am so wired that
 23 I have learned that I can't hide from anybody. So let
 24 me take you through this user's guide one step at a
 25 time.

1 URL that you can go to. So I am going to start by
 2 showing you how you can get to the mapping tool from the
 3 bureau's website.
 4 If you go to Health.Utah.gov/EMS and click on
 5 the link for EMS providers, then click on the link for
 6 licensed and designated EMS agencies, at the very top of
 7 the screen you will see a link for the EMS agencies'
 8 interactive map.
 9 And we have worked with the AGRC for a number
 10 of months fine-tuning this. So basically what this
 11 allows you to do is put in your agency's -- select your
 12 agency from the drop-down list or input any one of these
 13 various filters that are available to you. So if you
 14 wanted to see a list of all of the AEMT agencies, you
 15 would select that as your service level filter.
 16 And you would see that Carbon County and
 17 Wasatch County are the two agencies in the state that
 18 are still listed as of a level of service at 2009 AEMT.
 19 **KRIS KEMP:** That should be IM.
 20 **SHARI HUNSAKER:** I know that it should, but we
 21 had to stick with what was acceptable NEMSIS values.
 22 And NEMSIS does not have an intermediate advanced or an
 23 advanced intermediate. They have one or the other.
 24 **GUY DANSIE:** We're working on fixing that,
 25 right?

1 The user's guide is actually available to you
 2 if, when you first get to the map, you click on the link
 3 for user guide. And that will allow you to print out a
 4 hard copy of exactly what I have given you all today.
 5 Your filter boundaries, by default, so for
 6 your filtering you can use multiple filters for a
 7 search. But you can only have one value per filter.
 8 And if you look at the numbers on the user guide, number
 9 one is to see the color coded boundary down here. If
 10 you click on the show legend, it's not as dynamic as I
 11 want it to be. We are working with AGRC to improve the
 12 color coding visibility.
 13 So agencies that are a 911 response with
 14 transport are light green. Those that are without
 15 transport are yellow. If they are a search and rescue,
 16 they would be a different color. So once you apply all
 17 the filters that you want to and you get the map looking
 18 exactly how you want it to look, you can give the map a
 19 name and then print it.
 20 So if you wanted to find your service area,
 21 for example, I am going to choose American Fork city.
 22 Here is -- and that's -- we're working on the colors,
 23 trust me. But you can see the service area boundaries
 24 now for American Fork city. They are a 911 response
 25 with transport, and they have EMT intermediate and the

1 2009 paramedic levels.
 2 We are going to be sending out an e-mail next
 3 week to all of the EMS agencies explaining to them
 4 exactly what we need them to do to make sure that their
 5 service area boundaries are represented correctly and
 6 that their type of service and level of service is
 7 accurate for this data.
 8 And if they have any problems that they need
 9 to report, they will click on this link to report a
 10 problem, and it will immediately send me an e-mail. So
 11 if you don't know my e-mail address, and you want to
 12 really get in touch with me, this is another way. Go to
 13 the map.
 14 **JASON NICHOLL:** If we don't send it to your
 15 house.
 16 (People talking at once.)
 17 **SHARI HUNSAKER:** The email is coming to me.
 18 **JASON NICHOLL:** Or we could just send you a
 19 letter, to your house.
 20 **SHARI HUNSAKER:** Yes, or you could send me a
 21 letter to my house. Either way works. I'm happy to
 22 serve.
 23 You can zoom in and out of the map using this
 24 plus and minus symbol. You can shake the topography by
 25 clicking here and then selecting from the drop-down menu

Page 65

1 to terrain or streets. For my purposes, I generally
 2 just leave it at light. Hill shade. AGRC is just
 3 really into mapping, and they wanted to give you every
 4 option available.
 5 **KRIS KEMP:** Great. Well, it looks like we --
 6 we thank -- you know, thanks for the walkthrough on that
 7 mapping tool. You know, it looks like it's pretty easy
 8 to use, overall pretty straightforward. So thanks for
 9 that walkthrough.
 10 **SHARI HUNSAKER:** You're welcome.
 11 **KRIS KEMP:** Any questions for Shari? Okay.
 12 Thanks. It looks like we're getting close to the end
 13 here. I see on the agenda, change the date for April
 14 EMS committee meeting. I am assuming that it was
 15 scheduled for April 13th, or that was what I had on my
 16 calendar. Was there a problem, Guy? Did we have a
 17 problem?
 18 **GUY DANSIE:** I don't know.
 19 **AMY MELTON:** There's something else going on
 20 that day too, and I cannot remember. They talked about
 21 it.
 22 **SHARI HUNSAKER:** It's here in the auditorium.
 23 **GUY DANSIE:** Oh, it's an auditorium conflict?
 24 **KRIS KEMP:** Could we just go somewhere else?
 25 Crown Burger?

Page 66

1 **GUY DANSIE:** We could possibly go to Cannon.
 2 Do you think that would be -- maybe, maybe not. Would
 3 you rather change the location or the date honestly?
 4 **KRIS KEMP:** Personally the 13th looks good.
 5 **VOICES:** Location.
 6 **KRIS KEMP:** So just change location.
 7 **GUY DANSIE:** I'll see what we can do.
 8 **KRIS KEMP:** We'll get that sent out.
 9 **GUY DANSIE:** I'll have to figure something
 10 out, but we'll do something.
 11 **SHARI HUNSAKER:** What was the date originally?
 12 **KRIS KEMP:** It's still April 13th.
 13 **MARGY SWENSON:** We'd like to change the
 14 location.
 15 **KRIS KEMP:** Yeah, we'd rather change the
 16 location than the date.
 17 **AMY MELTON:** You can try that room next to the
 18 dock.
 19 **GUY DANSIE:** I'll see if I can find something.
 20 They don't know our acronyms. Possibly at Cannon is
 21 what I am thinking off the top of my head, Cannon Health
 22 Building.
 23 **KRIS KEMP:** We'll look at that and get it sent
 24 out. Round table discussion. Any issues from the
 25 committee need to be brought up?

Page 67

1 **MARC SANDERSON:** No.
 2 **KRIS KEMP:** To my left, any other issues from
 3 the committee from this direction? Okay. Well, we've
 4 got some assignments that have been made along the way
 5 for operations and professional development, I think, as
 6 well as to the department. So hopefully we can get some
 7 answers, and we'll have those good discussions at our
 8 next meeting in April. Do I have a motion to adjourn?
 9 **JASON NICHOLL:** Do you want to go over the
 10 assignments first before we go just to make sure that --
 11 **KRIS KEMP:** Our assignments?
 12 **JASON NICHOLL:** Yeah.
 13 **KRIS KEMP:** Okay. We can go over assignments.
 14 So I have a few listed specifically. From operations,
 15 how will vents be used? What's out there? The
 16 effectiveness. Which vents and three different type
 17 levels that we've discussed. And the guidance for
 18 equipment.
 19 Professional development, to get the critical
 20 care transport certification guideline that was
 21 mentioned and review that. If there's any -- to try to
 22 develop any form of state standards for vents that might
 23 be approved and then the training to cover the
 24 ventilators.
 25 Let's see. Other -- professional development,

Page 68

1 any other assignments that I am missing there? And for
 2 operations?
 3 **ERIC BAUMAN:** Did I understand that we were
 4 going to work with Guy on the disease testing of
 5 individuals exposed to --
 6 **GUY DANSIE:** Yes. We are still premature on
 7 that on exactly what we need help with, but we will
 8 probably be sharing something with you on that.
 9 **ERIC BAUMAN:** Okay.
 10 **KRIS KEMP:** And then I would like to make a
 11 recommendation that as we get the records of this
 12 meeting, that the professional development and
 13 operations subcommittees review and read these in detail
 14 so that they fully understand the discussions that were
 15 made around these assignments because I think sometimes
 16 the committee, subcommittees have lost a little in
 17 translation.
 18 So I think that it's all there in the
 19 transcripts, and if we can just focus on those points
 20 and read them as a group primarily first, it may answer
 21 some of the questions as to what the assignments truly
 22 are and which direction we wanted to go from the
 23 committee. So we try to make that as clear as possible.
 24 Is that okay as a recommendation?
 25 **ERIC BAUMAN:** Yeah, absolutely.

Page 69

1 **KRIS KEMP:** All right. Anything else?
 2 **GUY DANSIE:** Just for the rules task force, I
 3 have three upcoming agenda items for the 27th of this
 4 month. I just want to share those quickly with you.
 5 One of those will be the blood-borne pathogens we talked
 6 about.
 7 **KRIS KEMP:** Is this for rules?
 8 **GUY DANSIE:** Yeah, the rules task force.
 9 **KRIS KEMP:** Okay.
 10 **GUY DANSIE:** And so we were going to continue
 11 that discussion. There is a gentleman that had some
 12 concerns about special events and how those are done,
 13 and I know we have beat that one up before, but we're
 14 going to go look at that a little bit.
 15 The other one is the issue that was brought to
 16 the committee, and this is probably the one that maybe
 17 last month or last meeting we had a discussion about.
 18 Van service, and it got a little heated on what they say
 19 they are doing versus what they are allowed to do, so we
 20 were looking at possibly trying to delineate that a
 21 little bit clearer, make a clearer distinction between
 22 what an ambulance is and what an ambulance is for, and
 23 when it's appropriate to use an ambulance and when it's
 24 appropriate to use a van.
 25 We don't regulate the van service, but make

Page 70

1 that a little bit clearer, more understood.
 2 **KRIS KEMP:** Okay. All right. Any other
 3 issues?
 4 **JASON NICHOLL:** You will get the cost quality
 5 access.
 6 **GUY DANSIE:** We'll do that internally and
 7 bring that back to the committee.
 8 **KRIS KEMP:** And that was assigned to the
 9 department, not to one of the subcommittee.
 10 **JASON NICHOLL:** Motion to adjourn.
 11 **KRIS KEMP:** We have a motion to adjourn. Do I
 12 have a second?
 13 **BOB GROW:** Second.
 14 **KRIS KEMP:** All in favor, say eye.
 15 **COMMITTEE MEMBERS:** Eye.
 16
 17 (Meeting adjourned at 2:35 p.m.)
 18
 19
 20
 21
 22
 23
 24
 25

Page 71

C E R T I F I C A T E

STATE OF UTAH)
 COUNTY OF SALT LAKE)

THIS IS TO CERTIFY that the foregoing proceedings were taken before me, Teri Hansen Cronenwett, Certified Realtime Reporter, Registered Merit Reporter, and Notary Public in and for the State of Utah.

That the proceedings were reported by me in stenotype, and thereafter transcribed by computer, and that the foregoing pages, numbered 3 through 71 are a full, true, and correct transcription.

WITNESS MY HAND and official seal at Salt Lake City, Utah, this 26th day of January, 2016.

My commission expires:
 January 19, 2019

 Teri Hansen Cronenwett, CRR, RMR
 License No. 91-109812-7801

Page 72