

STATE EMS COMMITTEE MEETING
APRIL 13, 2016 AT 1:00 P.M.
3760 S. HIGHLAND DRIVE, ROOM 425
SALT LAKE CITY, UTAH 84106
3RD FLOOR AUDITORIUM

Reporter: Susan S. Sprouse

Garcia & Love Court Reporting and Videography
Susan S. Sprouse, CSR/RPR

1 April 13, 2016 1:00 p.m.
2 ***
3 **KRIS KEMP:** All right. Well, I think we'll get
4 started.
5 Welcome to the State EMS Committee meeting,
6 today, April 13th, 2016. I think agendas are available.
7 We've already had the executive session where we kind of
8 went through our agenda items to make sure we're familiar
9 with some of the topics. And we'll go through them in
10 greater detail during this meeting.
11 As a reminder, we are -- I think it should be
12 stated, we're all in this for public service in one form
13 or another, and we take our representation of who we do
14 represent very seriously. This is a complex and diverse
15 committee with a lot of different entities represented.
16 And that we try to do things for the greater good of all
17 of the State EMS agencies and just not one individual
18 group or another as we've been requested to represent as
19 such.
20 Introduction of committee members, I guess we
21 can start on that end, and we'll just go through, and then
22 we'll talk about vacancies and go from there.
23 **NATHAN CURTIS:** Sheriff Nathan Curtis, Utah
24 Sheriff's Association.
25 **MARK SANDERSON:** Mark Sanderson.

A P P E A R A N C E S

- Kris Kemp
- Guy Dansie
- Jason Nicholl
- Jeri Johnson
- Kristopher Mitchell
- Mark Sanderson
- Nathan Curtis
- Bob Grow
- Mark Adams
- Russell Bradley
- Laconda Davis
- Mike Mathieu

1 **KRISTOPHER MITCHELL:** Chris Mitchell, Trauma
2 Medical Director, Jordan Valley. Going to be at St.
3 Mark's.
4 **JERI JOHNSON:** Jeri Johnson, rural EMT.
5 **JASON NICHOLL:** Jason Nicholl, paramedics.
6 **GUY DANSIE:** Guy Dansie with the Bureau of
7 Emergency Medical Services and Preparedness.
8 **KRIS KEMP:** Kris Kemp, Chair.
9 **BOB GROW:** Bob Grow, emergency physician and a
10 medical director.
11 **MARK ADAMS:** Mark Adams, hospital
12 representative.
13 **RUSSELL BRADLEY:** Russell Bradley, rural
14 physician representative.
15 **LACONDA DAVIS:** Laconda Davis, Department of
16 public safety dispatch rep.
17 **MIKE MATHIEU:** Mike Mathieu, State Fire Chief.
18 **KRIS KEMP:** Great. And we've had -- Guy, if you
19 wouldn't mind speaking to our committee changes.
20 **GUY DANSIE:** Yes. We have Margie Baker
21 Swenson -- I can't remember -- anyway, she's been on our
22 committee for several years; however, she is resigning due
23 to change in her career path and so forth. I know she
24 went through nursing school. So she's asked to resign.
25 She'll no longer be involved with this committee. So we

1 will have a vacancy as a rural EMS representative and we
 2 will be open for applications and consideration for that.
 3 And that goes through the governor's committee appointment
 4 process. So it will be the governor who ultimately
 5 decides who that person is.
 6 **KRIS KEMP:** Great. All right. We'll start
 7 right off and get into the Open Meetings Act. Brittany.
 8 **BRITTANY HUFF:** The most exciting part of the
 9 meeting for sure.
 10 I'm Brittany Huff. I'm Assistant Attorney
 11 General who advises the Department of Health and --
 12 sure -- and Jay is going to give us a presentation.
 13 **JAY DEE DOWNS:** Do whatever you want to do and
 14 say whatever you want to say.
 15 **BRITTANY HUFF:** And then when you get sued, you
 16 have to go back and do it right.
 17 So, by law every year, the AG has to advise any
 18 board that's subject to this act of the changes. So I'll
 19 do that in a little while.
 20 The Legislature changes, so the ones that just
 21 passed in 2016, they are effective March 18th. The only
 22 change made this year, specified body does not mean
 23 several things and now it also does not mean conference
 24 committee, rules committee, or sitting committee of the
 25 legislature.

1 it's not related? Just to clarify. Suppose it's, like, a
 2 family member, some other thing going on that we text
 3 somebody?
 4 **BRITTANY HUFF:** You can do anything you'd like.
 5 You can act in any way you want. I know I was in trial.
 6 I was texting my legal secretary. The other side filed a
 7 complaint saying I was acting inappropriately. I had to
 8 hand over my whole phone. They copied my whole phone.
 9 Then when I was texting, came into scrutiny.
 10 So you can do whatever you want. If you want to
 11 turn on your phone and you can, you know, see all of your
 12 texts between your spouse.
 13 So that's what it is saying. Is it someone --
 14 you know, those are, you know, always called and not
 15 controversial at all.
 16 So you can do whatever you want, but this is
 17 just saying if it appears bad, someone could question it.
 18 It's not good.
 19 So do you see the purpose behind it? Does
 20 anybody have any questions? Obviously, we're on our
 21 phones all the time, and most of the time it's not
 22 necessarily how to vote on the next meeting issue.
 23 The moral of the story put your phone away. So,
 24 you know, you heard my spiel --
 25 Okay. So Open Public Meetings Act just means

1 So the reason for this legislative change is to
 2 make sure that these super special legislative committees
 3 are not subject to open and public meetings, active
 4 requirements. That's it. I'm done. Thank you.
 5 But really, you guys don't care about that. I
 6 think what you care about is what can and can't we do in
 7 these meetings. So that's what you are going to learn
 8 from me.
 9 A couple of years ago, they changed the statute
 10 to say when the committee members are in the public
 11 meeting, you are free to text and email each other outside
 12 of the meeting. That's what the wording says. But what
 13 they mean is when you are in here, don't text each other,
 14 "How are you going to vote? I think this looks great."
 15 So that's what it's saying. Members cannot forward texts
 16 or send texts to each other during the meeting. There's
 17 no prohibition on the public. So all these guys can send
 18 all the texts and emails they want, but you guys can't.
 19 So -- and the purpose behind that is to say
 20 let's make sure if you have a comment, you are going to
 21 say it, everyone is going to hear, they can rebut, they
 22 can understand why you are making the decisions you are
 23 making, not sending a text saying vote yes, vote yes, and
 24 then you guys just all secretly vote yes. Guys and girls.
 25 **GUY DANSIE:** Just a quick question. What if it

1 any committee that fits under the requirements has to be
 2 open for the purposes I've stated. Everybody wants to
 3 know why you guys are deciding what you are deciding.
 4 This includes a workshop or executive session. So I'm
 5 guessing the one upstairs was just open because EMS always
 6 follows the rules.
 7 **GUY DANSIE:** It was open.
 8 **BRITTANY HUFF:** Great legal counsel.
 9 **KRIS KEMP:** It's always open.
 10 **GUY DANSIE:** It is.
 11 **BRITTANY HUFF:** So here are the -- this is who
 12 is subject to the public body, and I can read the
 13 definition if you want, you guys are subject to it. And
 14 if you are on another committee, you should know whether
 15 or not you are subject to the Open Public Meetings Act.
 16 Does not include political parties or
 17 legislative conferences. The rules don't apply to them.
 18 What constitutes a meeting, a quorum or a simple
 19 majority, through in-person or an electronic meeting? If
 20 you see somebody else or a couple of people on the
 21 committee at a soccer game or the grocery store, it
 22 doesn't count as a meeting.
 23 The public notice, you have to give notice so
 24 that anyone who is interested in the issues coming up
 25 knows when and how to come and participate. Twenty-four

1 hours, it can be waived in an emergency, but really how
 2 often are you guys able to say this is an emergency, we
 3 have to meet sooner than 24 hours. If it comes up, great
 4 call me, I can show you how to do it. Also, the staff at
 5 DOH knows how to publish all this stuff.
 6 You're required to give notice, and these are
 7 the things that notice must include: The agenda, date,
 8 time and place. The best way to do it is the government
 9 has come up with a website. And like I said, DOH staff
 10 handles the publication of the notice.
 11 Emergency meetings, there has to be a really
 12 good reason, and it's -- that's if you are meeting under
 13 24 hours. Otherwise, it's just a regular meeting. And
 14 you still have to post it as best as possible. You cannot
 15 hold an electronic meeting unless you have a rule in
 16 place. DOH has a default rule saying you can have an
 17 electronic meeting. The electronic meeting is if you guys
 18 put it on, you know, go to meetings or anyone can call in
 19 and listen. And on the notice, you have to say what phone
 20 number and how they can get ahold of that.
 21 Closed meetings, usually this doesn't happen
 22 except for when EMS passes the Peer Review Board statute.
 23 So they do get to close the meeting. And so it's for very
 24 specific purposes. You have to hold an open meeting. You
 25 have to vote to close it. You can only close it for very

Page 9

1 specific things, which include discussing individual's
 2 character, professional competence or physical or mental
 3 health, or these other things which probably won't apply.
 4 If you do close it, the chair has to fill out an affidavit
 5 and then that portion isn't recorded. So anyway, if you
 6 want to do it, it's very specific. Ask me.
 7 You can't do the following things in closed
 8 meeting: Interview a person applying for an electorate
 9 position, discuss filling a mid-term vacancy, or discuss
 10 the character of the person filling a mid-term vacancy.
 11 You can't take final action, you can't approve
 12 an ordinance, regulation rule, and in the closed portion
 13 of the meeting you can only discuss the things that you
 14 close the meeting for.
 15 How to close a meeting, all these details if you
 16 really care, but you don't care until it affects you.
 17 There's the affidavit that Darren is going to be signing
 18 at the Peer Review Board.
 19 The meeting minutes, the transcription is not
 20 the minutes. The minutes are just a summary. Who came,
 21 what they voted, what was discussed, all that stuff.
 22 UDH takes care of the meeting minutes. You guys
 23 have to establish procedures for the minutes. That's just
 24 transcript versus summary.
 25 And here is what the meeting minutes have to

Page 10

1 include. You guys probably don't care. The staff that
 2 handles this knows what has to be included and should
 3 include it.
 4 Also, there has to be an audio recording of the
 5 minutes -- or of the meeting. The minutes and the audio
 6 recording need to be posted on the website. Just also
 7 note, if you close a meeting for the certain purposes,
 8 that portion does not have to have audio recording or
 9 minutes.
 10 Then they have to be posted on the website. The
 11 draft form has to be posted 30 days after the end of the
 12 meeting and the approved minutes must be available three
 13 days after they are approved.
 14 GRAMA. Are you guys familiar with GRAMA? It's
 15 exploding. It's the way for public to find out how the
 16 government works. So they can -- anyone can request a
 17 government record. So they can decide, oh, I don't like
 18 the way the EMS Committee decided something, I want to go
 19 back to the beginning of time and get all the audio
 20 recordings and all the minutes, everything. So just be
 21 careful. What's said here stays for a long time, and then
 22 people can go back and it's wide open. They can get the
 23 transcript. They can get the minutes. They can get the
 24 audio recording. It's all on there.
 25 **GUY DANSIE:** Just a note, Brittany.

Page 11

1 **BRITTANY HUFF:** Uh-huh.
 2 **GUY DANSIE:** Just for information, we had
 3 adopted a records retention schedule for EMS, and it's
 4 eight years.
 5 **BRITTANY HUFF:** Okay.
 6 **GUY DANSIE:** So we would have eight years worth
 7 of minutes --
 8 **SHARI HUNSAKER:** No, that's incorrect.
 9 **GUY DANSIE:** Okay.
 10 **SHARI HUNSAKER:** Because this is an open
 11 meeting --
 12 **GUY DANSIE:** It doesn't apply?
 13 **SHARI HUNSAKER:** -- it does not apply.
 14 **GUY DANSIE:** Okay. So it's only our EMS
 15 records. Okay. I just wanted to make sure.
 16 **BRITTANY HUFF:** How long -- what's the record
 17 retention for open meetings? Is it forever?
 18 **SHARI HUNSAKER:** Permanent.
 19 **BRITTANY HUFF:** So don't do anything crazy
 20 because then in two years they are going to go back and
 21 ask for all of these minute meetings, you know. So, yay,
 22 it's forever. That's awesome.
 23 **SHARI HUNSAKER:** Sorry, but I'm Shari Hunsaker
 24 if you needed my name for the transcription.
 25 **BRITTANY HUFF:** When the meeting is closed for

Page 12

1 certain purposes like collective bargaining or purchasing
 2 property, it still has to be audio recorded and minutes,
 3 but they are closed. They don't go on the public website.
 4 If someone wants it, they can -- it's a protected record
 5 under GRAMA. They can go up on appeals and go to court
 6 and get it. I know none of you guys care about this, but
 7 it's going in your reign and in case it comes up, then
 8 you'll know.
 9 If something -- an action is taken here, someone
 10 can dispute it, go to court. And if the court says yep,
 11 you were wrong, you have to go back and redo the action.
 12 And if you are really wrong, you may have to pay attorney
 13 fees to the other side.
 14 Disruption of a meeting, any person, anybody can
 15 be removed from a meeting if they are disrupting. That
 16 does not constitute a closed meeting.
 17 And I don't like the wording of this one, "A
 18 member of a public body who knowingly or intentionally
 19 abets, advises or violates any of the closed meetings,
 20 provisions of the chapter is guilty of a Class B
 21 misdemeanor." How can you be guilty if the law can't
 22 determine you are guilty? But whatever. So don't violate
 23 this stuff. It's bad.
 24 Does anybody have any questions? No questions.
 25 Just, you know, do the right thing, be on the up and up.

Page 13

1 It's recorded. If you have any questions -- a lot of time
 2 you know ahead of time if there's a sensitive issue coming
 3 and you'll say, we might have to close this, or there
 4 might be a lot of controversy or you can ask ahead of
 5 time.
 6 So nobody has any questions. No, today I'm not
 7 here to talk about this, but are you guys aware that SB126
 8 previously Department of Health committees that had
 9 rule-making authority were independent and had the final
 10 say on rules? An issue came up with not an EMS Committee,
 11 and the legislature changed the law that now the
 12 department has the final say. If the department disagrees
 13 with something the committees do, and there's a dispute,
 14 the department does have a final say. So again, you don't
 15 care about that until the issue arises.
 16 So, no other questions? Nothing? Okay. Guy
 17 knows when to call me. So call Guy and complain to him.
 18 **KRIS KEMP:** Thank you. All right. So we'll
 19 jump right into our action items, including the approval
 20 of minutes. They've been sent out. And so if we've had
 21 an opportunity to review those, I'll accept a motion and a
 22 second.
 23 **MARK ADAMS:** So moved.
 24 **KRIS KEMP:** We have a motion.
 25 **MIKE MATHIEU:** Second.

Page 14

1 **KRIS KEMP:** And a second. All in favor say aye.
 2 **COLLECTIVELY:** Aye.
 3 **KRIS KEMP:** Any opposed? And any abstained?
 4 Thank you.
 5 We'll move next to Mike Willits to discuss
 6 Sevier County EMS paramedic staffing waiver.
 7 **MIKE WILLITS:** Mike Willits for County EMS
 8 Director.
 9 **GUY DANSIE:** Hold on, Mike.
 10 **MIKE WILLITS:** Mike Willits for County EMS
 11 Director.
 12 Committee members, I came before you about a
 13 year and a half ago and expressed our desire to become a
 14 paramedic level service for Sevier County. We found that
 15 we needed to do -- accomplish quite a few things before
 16 this staffing waiver would be approved.
 17 And since that time we've put together a
 18 paramedic course with 15 members of our EMS in that
 19 course. We're now at the very end of that course looking
 20 towards within the next few weeks or weeks to take our
 21 national registry testing.
 22 So I'm before you today asking for the waiver to
 23 go paramedic as available in our system.
 24 Any questions?
 25 **KRIS KEMP:** I do have one specifically. Can you

Page 15

1 discuss your plans for improving or increasing your
 2 service over the next few years?
 3 **MIKE WILLITS:** We have -- in our budgeting we've
 4 set aside extra monies to continue paramedic training over
 5 the years. So as attrition or increasing, we do have the
 6 ability in our budget to continue our education.
 7 **KRIS KEMP:** In the paperwork that you sent us,
 8 the plan for operation for Sevier County EMS, on page 6
 9 you state on noted paragraph E and F some very interesting
 10 things. Do you care to comment on those?
 11 **MIKE WILLITS:** We're -- what we're talking here
 12 is it talks about being able to run as a paramedic 24
 13 hours a day.
 14 A few things we have in place in Sevier County
 15 is we have five full-time EMTs with a part-time staff of
 16 about 85 EMTs staffed throughout our whole county. In our
 17 15 that are in the class, four will be full-time EMS
 18 paramedic. They are on call at least two per day and at
 19 least one will be on call through the evening for any, any
 20 problems or paramedic level needs at that point. So that
 21 would be our full in-house staffing.
 22 And then we have the benchmark of going full
 23 time in 2020. We foresee being able to get this program
 24 taken care of and implemented in our area and increasing
 25 our ability to cover it as a paramedic with either an EMT

Page 16

1 or an advanced EMT as our secondary. We currently run as
 2 a three EMT coverage per ambulance. So does that answer
 3 your question?
 4 **KRIS KEMP:** Yeah. So basically what you state
 5 here is that by no later than July 2017 you are going to
 6 have one 24 hours-a-day paramedic?
 7 **MIKE WILLITS:** Paramedic and more available
 8 through on-call.
 9 **KRIS KEMP:** Right. And then by July 2020, you
 10 are proposing that you will have two paramedics 24 hours a
 11 day?
 12 **MIKE WILLITS:** Right. Correct.
 13 **KRIS KEMP:** Points to discuss on the committee?
 14 **JASON NICHOLL:** I just wanted to clarify that
 15 this is an application for a paramedic ambulance service
 16 with staffing waiver, correct?
 17 **MIKE WILLITS:** Correct.
 18 **JASON NICHOLL:** Okay.
 19 **MIKE WILLITS:** Okay.
 20 **KRIS KEMP:** Other points?
 21 I think one thing that we mentioned in our
 22 executive session was that of all of the staffing waivers
 23 that we've made for paramedic when available, none have
 24 produced a plan that's as robust as this and is complete.
 25 And that is to be commended and hope you will be able to

Page 17

1 accomplish these benchmark goals you've placed for
 2 yourself. It's kind of an example that we would hope to
 3 see from anyone that is going to paramedic when available
 4 to increase those service lanes in their communities.
 5 **MIKE WILLITS:** Thank you.
 6 **KRIS KEMP:** Any other questions or concerns?
 7 All right. So I guess we are looking for a motion and
 8 second for approval of this waiver application.
 9 **JASON NICHOLL:** So moved. I make a motion that
 10 we accept.
 11 **JAY DEE DOWNS:** Second.
 12 **KRIS KEMP:** Motion a second. And all in favor
 13 say aye.
 14 **COLLECTIVELY:** Aye.
 15 **KRIS KEMP:** And any opposed? And any abstained?
 16 **NATHAN CURTIS:** I abstain just because it' my
 17 agency.
 18 **KRIS KEMP:** Thank you for pointing that out.
 19 All right. Thank you.
 20 **MIKE WILLITS:** Thank you.
 21 **KRIS KEMP:** All right. Guy, you are next with
 22 R426-5.
 23 **GUY DANSIE:** All right. There were some changes
 24 made, some small changes made in this one section. It's
 25 Section 2700 of this rule. It should be in the packets so

Page 18

1 you can follow along at home.
 2 In the very first part of 426-5-2700, Part 1, it
 3 talks about an EMD. This is new language we wanted to add
 4 to allow EMDs who have background checks performed by the
 5 Department of Public Safety, allow them a -- to take that
 6 background check in lieu of having us perform a second
 7 background check in their behalf.
 8 Currently the rule reads we have to perform a
 9 background check for every individual and we're just
 10 simply allowing those that have already had a background
 11 check and then have been approved through public safety to
 12 perform their duties as a certified EMD.
 13 Any discussion?
 14 **MIKE MATHIEU:** Guy?
 15 **GUY DANSIE:** Yes.
 16 **MIKE MATHIEU:** Just one question. Why, why the
 17 use of "may" instead of "is not required"? Why "may not
 18 be required"?
 19 **GUY DANSIE:** We just --
 20 **MIKE MATHIEU:** Why not just say "is not
 21 required"?
 22 **GUY DANSIE:** We could make that change if you'd
 23 like.
 24 **MIKE MATHIEU:** The only reason I'm saying that
 25 is it sounds like at any point in time, you could just

Page 19

1 require it randomly.
 2 **GUY DANSIE:** Maybe cut it down and keep it a
 3 little clearer?
 4 **MIKE MATHIEU:** Yeah, because it says if they've
 5 already gone and cleared with a background screen by Utah
 6 Department of Public Safety is not required to have an
 7 additional background screening, rather than maybe. I
 8 don't know why you want maybe. Because then you can
 9 always just randomly require it.
 10 **BRITTANY HUFF:** "May not require" doesn't mean
 11 the department gets to randomly say we change our mind on
 12 you. It means -- it's allowed. So they cannot randomly
 13 say, well, the rule says may not, but we're going to
 14 require it of you. So changing it to "shall not ever"
 15 means they can never run a background screen. So leaving
 16 it as "may" gives them more flexibility.
 17 **MIKE MATHIEU:** I asked what was wrong with "is
 18 not required". I didn't say "shall." Why do you have "is
 19 not required"?
 20 **BRITTANY HUFF:** Because "may" is the correct
 21 terminology for rule writing.
 22 **MIKE MATHIEU:** Confusing.
 23 **BRITTANY HUFF:** I know. Try this. Actually
 24 this works.
 25 **MARK ADAMS:** Arguing with the lawyer?

Page 20

1 **MIKE MATHIEU:** Why not?
 2 **KRIS KEMP:** Okay. Any other comments or
 3 questions from the floor?
 4 **TAMMY BARTON:** Tammy Barton representing
 5 ambulance. So does that only go for EMDs or what if we
 6 have, like, our police officers that take an EMT class?
 7 Will they also be?
 8 **GUY DANSIE:** We had that discussion in the Rules
 9 Task Force, but we weren't comfortable in adding that at
 10 this point. I -- I'm not sure if our department feels
 11 comfortable with that idea at this point. I think it's a
 12 future thing that we would consider.
 13 This -- I think the problem we had with this is
 14 we're moving to the new live scan fingerprinting
 15 background check. It's quite cost prohibitive for
 16 dispatch services and they are already performing that for
 17 their individuals. So it doesn't make a lot of sense for
 18 us to do it again for the same people.
 19 Jason did bring that up in the Rules Task Force,
 20 I point that out. And we discussed that, but I don't
 21 think anybody was ready to make a suggestion on language.
 22 So --
 23 **JASON NICHOLL:** Patient care. Also EMDs are not
 24 involved in direct patient care.
 25 **GUY DANSIE:** Yes. Correct. We feel there's

Page 21

1 probably less risks in department oversight for EMDs than
 2 there would be for other individual patient care.
 3 **KRIS KEMP:** As a counter point and along those
 4 same lines, as a physician, I get a background check every
 5 hospital I try to go to. So even though they are working
 6 for two different agencies that maybe have some similar
 7 oversight, it's expected that they are going to have
 8 background checks done through each one of those. With
 9 the EMDs it seems to be there's not as much risk if
 10 they've already produced one from that public safety --
 11 services -- or safety entity. So...
 12 **GUY DANSIE:** Right. And we're requiring
 13 verification from the centers on the individuals as
 14 they've passed. So we have to have that validation from
 15 them before we're allowing them inception to the
 16 background check rules.
 17 **KRIS KEMP:** That's an excellent point, though.
 18 Thank you for bringing that up. All right.
 19 **GUY DANSIE:** Okay. Next part of the rule, if
 20 you'll -- it's a couple of pages over. And this portion
 21 of the rule, the numbering is very complicated. But you
 22 can see we highlighted it yellow, I hope. All the copies
 23 that you have, have yellow highlighting. It's a capital A
 24 is where it starts. It's struck out. It says, "Any
 25 felony or Class A under the Utah Criminal Code not listed

Page 22

1 in R426-5-2700, Part 6(A)(I)," that was asked to be struck
 2 out. The Rules Task Force agreed to this because they
 3 felt it was a catchall kind of a one of those clauses that
 4 may be a little ambiguous because the other titles and
 5 things are -- were specified in here, and they felt that
 6 that was adequate.
 7 And I'd invite Darren Park if you have any
 8 comments to -- not saying you need to, but if you -- if we
 9 have questions or anything on that.
 10 **DARREN PARK:** Absolutely.
 11 **GUY DANSIE:** As I move down, there's a part C
 12 that's been struck out, any felony or Class A, B, C under
 13 the following Utah criminal codes. There's a big I
 14 portion, a big double I, and a big triple I. Those have
 15 all been struck out feeling that it was redundant language
 16 because these things are already described in the language
 17 above.
 18 I'm leaning toward Darren because he's actually
 19 the one that helped develop these concepts.
 20 **DARREN PARK:** It's actually G not C.
 21 **GUY DANSIE:** G. Okay.
 22 **DARREN PARK:** And because the specific offenses
 23 listed are all covered under F, which encompasses the
 24 entirety of Chapter 10 under Title 76 --
 25 **GUY DANSIE:** Okay.

Page 23

1 **DARREN PARK:** -- it's redundant.
 2 **BRITTANY HUFF:** Yeah. So F says anything under
 3 76-10 and then G talks about things under 76-10.
 4 **DARREN PARK:** Yes.
 5 **GUY DANSIE:** Correct. All right. So that's
 6 then approved to be struck out by the Task Force.
 7 And then if we move down, there was another
 8 phrase, L. It's a little bit confusing because we drafted
 9 this once and approved it, and now it's being redrafted.
 10 So it's been moved to strike out that language in the old
 11 part L that said, "Any criminal conviction or pattern of
 12 convictions that may represent an unacceptable risk to the
 13 public health and safety." And I believe the argument for
 14 that was it was -- it was a kind of a catchall ambiguous
 15 type language in the rule.
 16 And those are all the changes that are proposed
 17 for this rule at this time. Any discussion or?
 18 **KRIS KEMP:** Anything from the Committee? Points
 19 to be made? And anything from the public? Clear as to
 20 why these are made? It's mostly redundancy that we are
 21 just taking it out.
 22 All right. This is an action item for approval
 23 of these from the Rules Task Force?
 24 **GUY DANSIE:** Yes. And also just for note, on
 25 the agenda there is a discussion about criteria for

Page 24

1 patient observation. And there has been a project that
 2 the Rules Task Force has been working on. I put that on
 3 the agenda thinking that maybe we would be ready for that
 4 as an action item, but we were not. Therefore, I'm not
 5 proposing that we add any criteria for patient observation
 6 at this time, or that's not part of our rule change at
 7 this point.

8 **KRIS KEMP:** All right. So do we have a motion
 9 to accept the Rules Task Force recommendations?

10 **JERI JOHNSON:** I make a motion.

11 **KRIS KEMP:** A motion. And second?

12 **NATHAN CURTIS:** I'll second.

13 **KRIS KEMP:** Thank you. All in favor say aye.

14 **COLLECTIVELY:** Aye.

15 **KRIS KEMP:** And any opposed? Any abstained?

16 All right. Thank you.

17 Let's see, Cost Quality and Access Goals. Guy.

18 **GUY DANSIE:** Okay. This is an assignment that I
 19 was given in our past EMS Committee meeting. It was
 20 proposed that we simplify the existing rule for cost
 21 quality and access goals. And that rule is R426-3-600.
 22 And we have a copy in the handouts in your packet.

23 And in order to simplify, the intent was to put
 24 the onus onto the municipalities rather than on the EMS
 25 providers. And so the language reflects that change. You

Page 25

1 can see that we struck out some of the existing language
 2 and clarified that with the underlying language.

3 Basically, it is -- it's allowing a little more
 4 flexibility and not as much detail on what is required for
 5 these goals.

6 **KRIS KEMP:** All right. Anything to discuss
 7 along those lines from the Committee?

8 **JAY DEE DOWNS:** Did this come from the state
 9 law, didn't it, where they wanted us to work on it?

10 **GUY DANSIE:** Yes. The statute was passed a year
 11 ago. We passed administrative rule in October of last
 12 year, was made effective. And then with some of the
 13 discussion we had after the rule was placed into effect,
 14 we felt that maybe it was a little too stringent on some
 15 of the detail. So it was proposed in our meeting last --
 16 in January that we adopt a version.

17 And Jason actually -- Jason had worded some of
 18 that and then proposed some of the changes to the
 19 committee. And I have, like, reworded some of that to be
 20 consistent with rule language.

21 **JAY DEE DOWNS:** Okay. I just wanted -- the rule
 22 is effective because of the law.

23 **GUY DANSIE:** Yes. Yes.

24 **JAY DEE DOWNS:** That's what I was thinking.

25 **GUY DANSIE:** This isn't based on changing the

Page 26

1 statute.

2 **JAY DEE DOWNS:** Right.

3 **MIKE MATHIEU:** So Guy?

4 **GUY DANSIE:** Yes.

5 **MIKE MATHIEU:** In practical terms, a service
 6 provider that has a service area of multiple governmental
 7 jurisdictions upon their need, relicensure will need to go
 8 in and ensure that those local governments have to -- they
 9 only adopt one set of how many goals related to EMS in
 10 regards to cost quality and access, and they would have to
 11 get those approved by those government entities in order
 12 to be relicensed.

13 **GUY DANSIE:** In the existing language, that's
 14 how it's worded. In this new language, we're putting the
 15 onus back on the municipalities.

16 **MIKE MATHIEU:** Well, it wouldn't be just
 17 municipalities. It would be counties, any government
 18 entities served by a provider?

19 **JASON NICHOLL:** No.

20 **GUY DANSIE:** I believe the statute is
 21 municipalities, but we'll look into that further. The
 22 point of this change is we did not want to withhold
 23 somebody's license as a provider if they are having
 24 difficulties with a municipality or government agency
 25 doing their part. And if there is an issue, then they are

Page 27

1 the ones that are on the hook for not doing it in statute,
 2 not the provider.

3 And also the existing language we've recommended
 4 that they do it every two years, and this simply says they
 5 need to do it upon every license cycle. It takes the
 6 burden off of the provider to some extent. You still are
 7 encouraged to do it, but the responsibility lies with the
 8 municipality or the government agency.

9 **BRITTANY HUFF:** So does the provider not get
 10 their license renewed if the municipality hasn't done it?

11 **GUY DANSIE:** That -- in the effective language
 12 we currently have, that's how it's done.

13 **BRITTANY HUFF:** But the new language changes it
 14 to say --

15 **GUY DANSIE:** That it's the responsibility of the
 16 government agency, not the provider.

17 **BRITTANY HUFF:** And the provider will get
 18 relicensed?

19 **GUY DANSIE:** Yes. Yes. We don't want to create
 20 a hardship for the provider if there's a difficulty in
 21 getting the -- their cost quality and access goals.

22 **KRIS KEMP:** Okay. Any further discussion on the
 23 cost quality and access goals as in rule to discuss?

24 **MARK ADAMS:** Do these changes require public
 25 posting?

Page 28

1 **GUY DANSIE:** Yes, 30 days.
 2 **MARK ADAMS:** So just like any rule change.
 3 Okay.
 4 **KRIS KEMP:** Anything else? From the public?
 5 Okay. Well, these are proposed changes to the
 6 cost quality and access goals, Rule R426-3-2600 for ground
 7 ambulance providers. We have these changes. Do we have a
 8 motion to accept?
 9 **JAY DEE DOWNS:** So moved.
 10 **KRIS KEMP:** And a second?
 11 **MARK ADAMS:** Second.
 12 **KRIS KEMP:** All right. All in favor say aye.
 13 **COLLECTIVELY:** Aye.
 14 **KRIS KEMP:** And any opposed? And any abstained?
 15 Thank you.
 16 Moving on. Shari, R426-9, Trauma Rule.
 17 **SHARI HUNSAKER:** My name is Shari Hunsaker. I'm
 18 with the Bureau of EMS and Preparedness. And one of my
 19 responsibilities is to work with the trauma program
 20 managers forum and to the trauma user group in the
 21 maintenance of all our state trauma registry. I'm going
 22 to give you a brief primer on the trauma registry itself.
 23 And I promise will be more than you ever wanted to know,
 24 but you'll need that context to be able to fully consider
 25 the change in the rule that is before you.

Page 29

1 Historically we have followed the national
 2 trauma data standard data dictionary for our trauma
 3 registry. We've also maintained a separate Utah trauma
 4 registry data dictionary. And there was an awful lot of
 5 duplication between them.
 6 We, in our Utah data dictionary, included the
 7 national elements as well. And rather than being a
 8 comprehensive source for our hospitals to use, it became
 9 exceedingly frustrating for them because maintaining two
 10 separate documents and having them coalesce is virtually
 11 impossible.
 12 About a year and a half ago, the trauma program
 13 managers forum as well as the trauma user group agreed to
 14 change the format of our data dictionary in the state. So
 15 we adhere to the national trauma data standard. And now
 16 all our data dictionary is only addendum for those
 17 additional state required elements for our trauma
 18 registry.
 19 That prompted a discussion about aligning our
 20 inclusion criteria with the inclusion criteria of the
 21 national trauma data standard. So for a patient to be
 22 included in the national trauma registry, they have to
 23 have been transferred via EMS. They have to have a
 24 diagnosis within a certain range.
 25 Utah had added some additional criteria such as

Page 30

1 if they were transported by air ambulance at any point in
 2 time, they were automatically in the trauma registry. And
 3 what we proposed to the trauma system advisory committee
 4 is aligning our inclusion criteria with that of the
 5 national standard.
 6 The point that became a major source of
 7 discussion was that the NTDS leaves up to each state to
 8 define a hospital admission. And we had faced the fact
 9 that different hospital systems were defining hospital
 10 admissions their own way. And so if we -- our previous
 11 definition was if the patient was admitted for 24 hours or
 12 longer, and the ambiguity there was that at the University
 13 of Utah they may be in an observation bed for more than 24
 14 hours, but they are never formally admitted at the
 15 hospital and so they were not being included in the trauma
 16 registry, even if all other criteria was met.
 17 And so what the Trauma System Advisory Committee
 18 proposed is that we define a hospital admission as any
 19 stay in the hospital from the moment you arrive in the
 20 emergency department until you leave the hospital of 12
 21 hours or longer. We did a data analysis and determined
 22 that that will increase the population of our trauma
 23 registry by roughly 1300 records per year. Last year we
 24 had 13,000 records -- well, in 2014 we had 13,000 records
 25 that were input into the registry. With this change we

Page 31

1 would anticipate that that number would go up between
 2 14,000 and 15,000.
 3 So, the change before you in Rule 426-9
 4 basically replaces all references to ICD-9 codes with
 5 ICD-10 codes. It eliminates the inclusion criteria that
 6 if you are transferred at any point via air ambulance,
 7 you're automatically included in the registry. And it
 8 defines a hospital admission as being in the door and out
 9 the door greater than 12 hours. The State is declaring
 10 that an admission for the purposes of the trauma registry
 11 inclusion criteria. For those of you not asleep now, I'm
 12 done.
 13 **MIKE MATHIEU:** It was clear.
 14 **KRIS KEMP:** All right. Thank you.
 15 Any questions for Shari?
 16 **DR. PETER TAILLAC:** Kris, if I may. Peter
 17 Taillac.
 18 I -- oh, I just wanted to mention to the group
 19 as well that these changes were discussed at length and
 20 passed by the Trauma Advisory Committee. You probably
 21 said that earlier.
 22 **SHARI HUNSAKER:** That happened before I took
 23 these to the Rules Task Force.
 24 **MARK SANDERSON:** I just have one quick question
 25 for clarification. Prior to the 12 hours the patient

Page 32

1 expires, they are still included in the trauma data?
 2 **SHARI HUNSAKER:** Any death related to trauma,
 3 yes.
 4 **KRIS KEMP:** All right. Any other questions,
 5 concerns, points from the Committee and from the public?
 6 **MARK SANDERSON:** One more question --
 7 clarification. From a facility's standpoint, if the
 8 patient's transferred out but admitted, if we fly a
 9 patient from mountain point and fly them to IMC, they are
 10 admitted there, who's responsible for the trauma registry
 11 data information? Is it IMC or would it be the
 12 transferring facility?
 13 **SHARI HUNSAKER:** They may both be responsible
 14 for inputting a record. If the patient arrived at the
 15 initial facility via EMS and they stabilized and
 16 transported to definitive care, then -- and the patient
 17 met all other criteria, then the initial facility would
 18 input a record into the trauma registry, and the receiving
 19 facility would do the same.
 20 We do have situations where, especially with
 21 pediatric patients, they meet the inclusion criteria at
 22 the initial facility, but they don't meet the initial
 23 criteria at Primary's because Primary discharges them.
 24 **MARK SANDERSON:** Right.
 25 **SHARI HUNSAKER:** And that's a whole other issue

Page 33

1 that we need to address with over and under triage. But
 2 there is a possibility that when I look at these records,
 3 I'm not looking at distinct patients, I'm looking at the
 4 total number of records. So just like with EMS where
 5 there may be more than one patient care report, because
 6 more than one agency was involved in the transfer of that
 7 patient, or in the care of that patient on scene, so too
 8 in our trauma registry, we may have more than one record
 9 for the same exact incident because they were seen at two
 10 different hospitals and met the inclusion criteria at
 11 each.
 12 **KRIS KEMP:** Okay. Any other concerns,
 13 questions, comments? All right. Well, these are proposed
 14 changes --
 15 **SHARI HUNSAKER:** Thank you.
 16 **KRIS KEMP:** -- to R426-9, I believe 700. So do
 17 we have a motion to accept?
 18 **MIKE MATHIEU:** So moved.
 19 **KRIS KEMP:** Second.
 20 **RUSSELL BRADLEY:** Seconded.
 21 **KRIS KEMP:** Great. And all in favor say aye.
 22 **COLLECTIVELY:** Aye.
 23 **KRIS KEMP:** Any opposed? And any abstained?
 24 Thank you.
 25 Next is our proposed EMS rates, R426-8.

Page 34

1 **GUY DANSIE:** While this is setting up, I just
 2 wanted to preface for a presentation about the rates with
 3 some wording changes to the Rule R426-8. If you'll look
 4 at that in your packet. The changes were designed to have
 5 the rule read similarly to the rest of our rules. This
 6 particular rule has been perpetuated year after year as
 7 rates have been changed without any changes to the
 8 wording.
 9 We've done an extensive change to the other
 10 portions of rule. So we've made the following -- the
 11 changes noted in here to bring the wording into agreement
 12 with other language. Thanks. Brittany is over there
 13 smiling at me.
 14 **BRITTANY HUFF:** Yes.
 15 **GUY DANSIE:** And then also there's one other
 16 thing before Alisa begins. I have a couple of typos on
 17 strikeouts. I just want to point those out. Those were
 18 not on purpose.
 19 Down on the bottom of the front page it says --
 20 it's R426-8-2, Part 4. Well, excuse me. There's Part 3
 21 right at the bottom. On Roman Numeral IV there is a
 22 strikeout of the transportation rate. That -- no, it's a
 23 paramedic board.
 24 **JASON NICHOLL:** That's the --
 25 **GUY DANSIE:** Yeah, the paramedic board fee.

Page 35

1 That has not changed. We're not -- the strikeout should
 2 not be there. I won't put it in as it goes out to public
 3 comment.
 4 And then also the mileage rate Part 4(A),
 5 there's an inadvertent strikeout that was left in there.
 6 I just wanted to let you know that those two rates are not
 7 changing.
 8 Now I'll turn it over to Alisa.
 9 **ALISA HARDIN-LAPP:** Thanks. My name is Alisa
 10 Hardin-Lapp. I am with financial resources in the
 11 Division of Family Health and Preparedness. And this year
 12 we had a new updated fiscal reporting guide, an FRG, which
 13 I'm sure most of you are aware of.
 14 So our ambulatory rates were set according to
 15 those new FRGs that were submitted. So this year it was a
 16 smaller sample size. And like I said, that's due to the
 17 new rates. So next year we will have a larger data set
 18 for that.
 19 So we used 40 percent of the agency's data.
 20 Because we had a smaller sample size, we used a larger
 21 limitation range. And that is so we could include more of
 22 the data. And we also had a larger limitation range in
 23 order to weed out some of the outliers. So there were
 24 some agencies that, for example, showed an extreme deficit
 25 or a large profit. And so we -- we set this limitation

Page 36

1 range so it couldn't skew the data.
 2 This year in the FRG, some of you may have
 3 noticed, that we requested total asset information. And
 4 because we request that -- we requested that because we
 5 needed to look at the net income limitation test. And so
 6 according to rule, an agency is not able to go over
 7 8 percent of gross revenue or 14 percent of their return
 8 on average assets. And so this year we tested that, and
 9 29 percent of the agencies who turned in an FRG failed
 10 that income limitation test.
 11 So, this is an example of -- kind of this graph
 12 right here is what my data sheet looks like. So for each
 13 of these boxes, these line items, you'll recognize from
 14 the FRG. And this box is actually the average of all of
 15 the data put together.
 16 And so really the only thing that changed this
 17 year, in order to compute the ambulatory rate is we had a
 18 different data from the updated FRG. And this formula
 19 right here changed. So previously we only used the billed
 20 transports and we didn't include mileage or surcharges or
 21 other revenue sources that go into a billed transport. So
 22 we did the total of billed revenue and then took out the
 23 adjustments and divided that by the number of annual
 24 transports that that -- that you record on the FRG.
 25 So we got a number from that, and then we -- we

Page 37

1 take that number and put it into a percentage. So that
 2 1 percent right there, that's averaged out from every
 3 single FRG. So the increase rate this year is
 4 1.5 percent.
 5 So on this slide, it shows the current rates
 6 that they are right now. We took the one and a half
 7 percent and now we have the new proposed rates. And there
 8 it shows the percentage change from the prior year. And
 9 like I was saying, this third line is a new rate that
 10 we -- that we have for this year. All of the other rates
 11 are staying the same just due to the fact that we had two
 12 small of a sample size and next year all of the other
 13 rates will be looked at.
 14 And this slide just goes and shows some
 15 historical data. So you can see this year the rate
 16 increase is not the lowest it's ever been, but it
 17 certainly isn't the highest either. And we are proposing
 18 to have these new rates in effect by July 1st for the
 19 state fiscal year. We'll have the filing deadline on
 20 Monday, May 5th. And then this will be opened for a
 21 30-day public comment and that will close June 14th to
 22 have a July 1st effective date.
 23 Are there any questions? Perfect.
 24 **GUY DANSIE:** Thank you. I failed to mention one
 25 part that we discussed at our previous meeting and Alisa

Page 38

1 alluded to that. There was a new rate that was set with
 2 the intermediate advanced agencies or providers that we
 3 have. There are two of those in the state, and
 4 historically we've allowed them to operate and function as
 5 intermediate advanced licensed levels. They've agreed to
 6 lower their license level to an AEMT level to combine
 7 license. So license types will be combined and
 8 consolidated.
 9 However, they did not want to give up their
 10 skill set. They have people that are trained to do
 11 additional skills. And as part of that compromise to
 12 bring them to the AEMT license level and still allow them
 13 to do this extra skill set, we added language in 426-8-2,
 14 Part C. It's underlined. It says, "Advanced EMT ground
 15 ambulance" -- it should be -- the S on there, EMS, who was
 16 prior -- who were prior to June 30th, 2016, licensed as an
 17 EMT-IA provider, we set a rate at \$1,149. That's the mid
 18 point between an AEMT licensed rate and a paramedic rate.
 19 And that was part of our compromise to bring them down to
 20 that level and still let them do those extra skills and
 21 provide those services to their communities.
 22 **ALISA HARDIN-LAPP:** I have one other thing to
 23 say. Sorry. This sheet has all of the proposed -- the
 24 new rates on it, and it's in right when you walk into the
 25 room. So you all can take one. We'll also post this on

Page 39

1 the website as well.
 2 **GUY DANSIE:** And I'll send that out to all the
 3 directors as well through our email server.
 4 **KRIS KEMP:** All right. Any discussion about the
 5 rates, rate's changes, how we got to this rate change,
 6 implications? There seemed to be a healthier discussion
 7 upstairs.
 8 **NATHAN CURTIS:** There's a lot that went into it.
 9 **RUSSELL BRADLEY:** We did have a discussion about
 10 the data set. It seems pretty small at only 40 percent,
 11 but, you know, that would be rectified in the future, too,
 12 when we get more of the agencies reporting that fiscal
 13 data and then reassessment will be done.
 14 **GUY DANSIE:** Correct. And let me just expand on
 15 that a little bit. Part of the issue is we have to set
 16 rates by July 1st for the fiscal year. Our Medicaid
 17 reimbursement rates are now predicated on our base rates
 18 that are in rule. So we only would like to have that rule
 19 updated annually and starting July 1st of each year. So
 20 that's part of our problem. We wanted to go ahead and
 21 offer the rate increase and get the ball rolling, so to
 22 speak, and then next year we'll look at the full set of
 23 data to set a rate.
 24 **KRIS KEMP:** Other discussion points?
 25 **NATHAN CURTIS:** Thanks for the hard work.

Page 40

1 **KRIS KEMP:** Anything from the --
 2 **RUSSELL BRADLEY:** We did also have a discussion
 3 that, you know, that 29 percent of the agencies that
 4 failed the income limitation test, that those monies go
 5 back to governments. So it -- so we did have a discussion
 6 as to the -- when failing, that doesn't necessarily mean,
 7 except a profit entity that that might be monies that are
 8 wound back into government. We had a pretty big
 9 discussion about that. So with that people should be
 10 aware that this failure doesn't mean that somebody is
 11 making an awful profit. Is that money is then given back
 12 to the local governments for nonprofit agencies. I felt
 13 that was important to know.
 14 **KRIS KEMP:** And that's where the challenge comes
 15 because we haven't yet done anything in the past with
 16 anyone that may be going above the rule as in noted
 17 R426-8-2, Part A, where it says the net income limit shall
 18 be greater -- the greater of 8 percent of gross revenue or
 19 14 percent return on average assets is what to do with
 20 anyone that falls outside of that rule on the top end.
 21 And I think that most agencies that are -- how did you put
 22 it, Jason, generally funded.
 23 **JASON NICHOLL:** A general fund under --
 24 **KRIS KEMP:** General fund through a municipality.
 25 That that money goes back into the municipality and is

1 back in 2010. So we're obviously not making any large
 2 jumps on a rate increase. We're only going to 1.5 percent
 3 or there about. But at least it's safe based on a
 4 reasonable level of reporting agencies with 40 percent.
 5 They were actually relatively large agencies from what I
 6 gather.
 7 So with that, is there any other discussion
 8 points or clarifications needed? All right. Well, this
 9 is an action item as well for a rate increase.
 10 **GUY DANSIE:** And the rule.
 11 **KRIS KEMP:** And the rule changes as noted with
 12 those strikeout typos being changed. Do we have a motion
 13 to accept.
 14 **RUSSELL BRADLEY:** So moved.
 15 **KRIS KEMP:** All right. And a second.
 16 **KRISTOPHER MITCHELL:** Second.
 17 **KRIS KEMP:** All right. And any opposed -- or
 18 wait. Excuse me. All in favor say aye.
 19 **COLLECTIVELY:** Aye.
 20 **KRIS KEMP:** And any opposed? Any abstained?
 21 Thank you.
 22 All right. Subcommittee reports and action
 23 items. Eric, would you like to discuss operations update
 24 and ventilator guidelines?
 25 **ERIC BAUMAN:** Hi. Eric Bauman with the

1 very difficult for us to ascertain that that is specific
 2 to the agency. A lot of agencies aren't set up to where
 3 they have total control over those dollars unless you are
 4 in enterprise?
 5 **JASON NICHOLL:** Enterprise, yeah.
 6 **KRIS KEMP:** Set up that way. And so that's
 7 something to consider as well. So we haven't yet done
 8 anything about anyone that falls outside those rules
 9 except to note them. So there will be more information to
 10 come. We feel like the dataset wasn't complete based on
 11 those fiscal limitations, but yet this is at least a move
 12 in a safe and measurable, explainable direction because
 13 otherwise there wouldn't be a rate increase for two years.
 14 Any other questions or concerns?
 15 **TAMMY BARTON:** Tammy Barton representing
 16 ambulance. One of the things we were talking about is
 17 because on the new FRG, it had appreciation. That might
 18 have skewed this first time around because that was
 19 something new to all of us. So we're hoping you get it
 20 right.
 21 **KRIS KEMP:** And that's noted. There's a lot of
 22 moving parts to this. We're looking at new data. That's
 23 why we're not really making any big jumps. As we saw on
 24 the slide presentation, you can see that there have been
 25 years where your -- yeah, up to 15 percent rate increases

1 operations subcommittee. And we were tasked as a
 2 subcommittee to look at transport ventilators and the
 3 committee has been doing extensive research on what
 4 transport ventilators are out there, what's being used,
 5 and what capacity they are being used in. And what we are
 6 finding is that they range anywhere from simple to
 7 complex. And it's really based off the needs of the
 8 committee and medical directors.
 9 And so, we've done some research and we have
 10 ready to present to you three particular transport
 11 ventilators that we've researched. And I got that. I can
 12 present that to you shortly after we leave.
 13 **KRIS KEMP:** Okay. I think part of the question
 14 specifically was, is this something that can be done
 15 safely, routinely? Is this something -- because again,
 16 some of the questions that I have specifically about
 17 ventilators, was operating a ventilator might be more
 18 difficult than just being trained on how to operate a
 19 ventilator. Whereas, I think as any of the physicians in
 20 this room note, a lot of things that go wrong with
 21 patients that have airway compromise and need ventilator
 22 assistance. And I think that most other EMTs and
 23 paramedics also can recognize that there's a lot of bells
 24 and whistles that happen, and I've yet ever to see a
 25 ventilator operate without some form of alarm going off.

1 And with the data that's very clear out there
 2 that states a ventilated patient does better on short and
 3 long-term outcomes than someone who's just being bagged,
 4 is there a safe methodology that we can have to support
 5 ventilated patients by ground ambulance?
 6 And I think that was part of the specific
 7 question, not necessarily what ventilators are out there
 8 and could be used. I think that is useful information,
 9 but I think that the specific task question was, is this
 10 something that should be promoted in paramedic or
 11 nonparamedic or ground ambulance personnel in general?
 12 Because I hear even from nurses that work around
 13 ventilators quite often and the physicians, that they are
 14 not comfortable with that concept but yet others are.
 15 **ERIC BAUMAN:** And I'll let Von speak to -- I'll
 16 let Von -- I know the Professional Development Committee
 17 has done a lot of work on the education behind that and
 18 answer that question. I'll include with you some of the
 19 education that we've looked at per ventilator and the
 20 critical specialist and what they provide. But I think
 21 it's probably best if I let Von speak to that question in
 22 terms of curriculum and recognition of whether or not
 23 that's the best role for ambulance.
 24 **KRIS KEMP:** Terrific.
 25 **ERIC BAUMAN:** Just real quickly not to -- it's

Page 45

1 not on the agenda, but I think important to mention, I
 2 made a commitment to all of you in the last meeting and
 3 over the last year that we would have the SFA catastrophic
 4 earthquake plan completed by this meeting. I'm happy to
 5 tell you that it is completed. It's been a tremendous
 6 amount of work on behalf of the committee. And so huge
 7 thanks to them.
 8 The -- all of the work is completed. It's been
 9 turned over to Andrea Baxter who is an intern with the
 10 Bureau. She's completing the actual writing of the plan.
 11 But I think it's a very quality product and you'll be
 12 happy with it and that will be completed. So I think from
 13 this point forward the committee will be finished with
 14 that.
 15 **KRIS KEMP:** All right. Thank you. Is there
 16 anything specific about that summary or is there going to
 17 be a presentation involved with that or --
 18 **ERIC BAUMAN:** Yeah. So after Andrea writes the
 19 plan, it will go back to Mindy Colin for a final review,
 20 and we'll present that to you. So we could physically
 21 present that to you at the next meeting if that's
 22 something you would like to see.
 23 **KRIS KEMP:** I think that's reasonable.
 24 **JASON NICHOLL:** Mr. Chair, if I could.
 25 **KRIS KEMP:** Yeah.

Page 46

1 **JASON NICHOLL:** I sit on the Operations
 2 Committee also with these as the committee advisor. And I
 3 want to say that I'm exceptionally proud to be able to
 4 work with Eric and Chris Delamare who have done the lion
 5 share of the work on this. And I just want to make sure
 6 that all the committee members know how hard this project
 7 has been and that these -- specifically these two
 8 gentlemen -- Chris, stand up Chris. Come on. That Chris
 9 and Eric have done a marvelous job and they deserve our
 10 gratitude for it. That's all I've got to say.
 11 **KRIS KEMP:** Thank you for that comment. Thank
 12 you very much. Any other questions? Any questions?
 13 Comments?
 14 **GUY DANSIE:** Just of note, Andrea was going to
 15 come today to present, and I didn't get her on the agenda,
 16 and she's running a little bit behind on a couple of
 17 projects, so I will have her here in the July meeting to
 18 present on injury, control cert of prevention injury -- I
 19 can't even -- my tongue is getting tied. She has a
 20 presentation on injury prevention that she's performed a
 21 survey throughout the state. And so we could probably
 22 have her and you or whoever present as well on the plan,
 23 the EFSA plan.
 24 **ERIC BAUMAN:** Okay. Thanks.
 25 **GUY DANSIE:** Thanks.

Page 47

1 **KRIS KEMP:** All right. Professional development
 2 update on critical care transport certification guidelines
 3 review and disease testing of individuals exposed to blood
 4 borne pathogens. Von Johnson.
 5 **VON JOHNSON:** Von Johnson with the operations
 6 subcommittee. First of all, let me address the second
 7 issue first and the fact that we weren't aware that we had
 8 been tasked with that. We believe that that should be an
 9 operations committee as far as the blood borne pathogen
 10 testing. So --
 11 **GUY DANSIE:** It may have been I just did an
 12 agenda error. I apologize for that.
 13 **VON JOHNSON:** So if we need to look at that,
 14 we'd be happy to do that, but up to this point we haven't
 15 approached that at all.
 16 So coming back to the critical care transport
 17 issue. We've talked that the Bureau had decided quite a
 18 while ago that there would not be any licensure of any
 19 individuals or agencies in regards to critical care
 20 transport. And so because of that, it was discussed.
 21 There is a curriculum that has been discussed and
 22 prepared. And this was based on the, I believe it was the
 23 University of Maine's program that would be approved to
 24 use for agencies that so desired, but that we didn't
 25 really have anything in place that we would want to say

Page 48

1 yes the Bureau is approving this particular thing for that
 2 purpose.
 3 So the other discussion that we had in regard to
 4 that was the fact that even agencies who accept that if
 5 they trained to that level, if there were an audit then on
 6 that agency by anybody, Medicare, Medicaid, that they
 7 would be able to say this is where we stand with our
 8 training. So the discussion was it's kind of an
 9 individual agency thing.
 10 The other side to that is the fact that our
 11 state ambulance rates are much higher than Medicare and
 12 Medicaid for reimbursement for critical care transports
 13 anyway. So we felt like since that was the case, most
 14 agencies would not be billing for that level of service.
 15 In addition to that, we were discussing the use
 16 of transport ventilators and the requirements for that.
 17 We felt like that it kind of became a moot point with
 18 the -- with what type of ventilators and that kind of
 19 thing. The 2009 EMS education standards have included
 20 transport ventilators at the EMT level as far as
 21 monitoring those, but it doesn't say what type of
 22 ventilator or anything like that. So we're going to
 23 revert back to the Operations Committee to continue their
 24 research on which types of ventilators are out there,
 25 what's available, and at what level we should kind of

Page 49

1 address that. And I think that's all.
 2 **KRIS KEMP:** Okay. Any specific questions then?
 3 Thanks for looking into that.
 4 I think the question to still answer -- that is
 5 left unanswered about ventilators and transport with
 6 different certification levels. I see it as a gaping hole
 7 in service lines. We do have agencies who are doing it
 8 and have done it, and I'm assuming safely, but has
 9 there -- you know, I guess I look back at the data and
 10 that's where I would see a gaping hole as looking for, you
 11 know, opportunities for improvement, quality improvement
 12 and is it necessarily something that we need to know as a
 13 committee or is this an individual medical director
 14 decision? And I think that's where it ultimately lands
 15 right now. Because it is part of the optional equipment
 16 that you can have on your ambulances, is a transport
 17 ventilator.
 18 And I think we're just -- I don't know what to
 19 do with that. I still don't know what to do with that
 20 amount of information because I go to nurses, I go to
 21 other EMTs, I go to other paramedics, and they feel
 22 amazingly uncomfortable with it, but yet there's agencies
 23 out there still doing it, doing it, and have been doing
 24 it. It's not a new thing. You know, there's recently
 25 another agency that's just picked up using transport

Page 50

1 ventilators. And I just -- I'm -- I'm curious as to see
 2 how that process can be maintained in a higher quality and
 3 safe environment. Peter.
 4 **DR. PETER TAILLAC:** Peter Taillac. So I think,
 5 Chris, this has been treated like other things that
 6 agencies do when they take on inter-hospital capabilities.
 7 And several -- quite a few agencies in the state have
 8 requested formal variances with an expanded list of sort
 9 of transported medication and transport devices in order
 10 to facilitate our hospital work.
 11 I think the intermountain program that trains
 12 the ED nurses to actually work with and on board with the
 13 medics, ambulance for transports is really laudable, and
 14 I'd like to see -- personally like to see that replicated
 15 more and more in agencies around the state that do this.
 16 But ultimately, I think you're right. It's the
 17 medical director's responsibility and the training
 18 officer's responsibility to make sure all medications and
 19 devices are -- medics are trained up to use them properly,
 20 know how to manage complications, know when to turn them
 21 off and on, et cetera. There really is no overall arching
 22 state guidance for this at this point. Like many things,
 23 it rests with the medical director.
 24 That being said, I personally am not aware of
 25 any ventilator related issues in transports that have come

Page 51

1 up, like ever, that I'm aware of, but other people may be.
 2 **KRIS KEMP:** And that's -- that -- I hear exactly
 3 the same things. My concern is I see a patient sitting
 4 there or laying there with a ventilator, and I see those
 5 things happen. I know that they happen. So whether
 6 there's not a reporting of it or that, you know, minor
 7 alarms and then just turning the vent off and then bagging
 8 the rest of the way isn't considered a feasible negative
 9 reporting outcome as I would think it would be, that's, I
 10 guess, the question. And that's -- it still seems to be
 11 in question that's unanswered, other than having to fall
 12 back on the medical director saying understand that
 13 liability is there.
 14 And I just in a critical care world as an ER
 15 physician I live in, you live in, Bob lives in, it's hard
 16 to divorce the criticality of the patient from the tool
 17 that we're using to keep that person semi-staffed.
 18 **DR. PETER TAILLAC:** Your point is well taken.
 19 These are by definition critically ill patients. So the
 20 vent is one piece of an otherwise complex critically ill
 21 patient treated in the back of an ambulance for an
 22 extended period of time. The point is well taken.
 23 **KRIS KEMP:** So from the professional
 24 development, the issue of critical care transports is as
 25 it was before, the State, you're not making any

Page 52

1 recommendations to change the way it has been --
 2 **VON JOHNSON:** Correct.
 3 **KRIS KEMP:** -- to improve or increase the level
 4 of critical care transports?
 5 **VON JOHNSON:** Our recommendation is that there
 6 is a curriculum available if individual agencies choose to
 7 use it.
 8 **KRIS KEMP:** Okay.
 9 **VON JOHNSON:** But not from the State?
 10 **KRIS KEMP:** Comments?
 11 **KYLE LINDSAY:** Yeah. Kyle Lindsay, Logan City
 12 Fire Department. I just want to address, Dr. Kemp, one
 13 issue we did identify in training our guys on ventilators
 14 and to show how we do and hopefully give a little bit more
 15 confidence to this, but that's where on training our
 16 department on ventilators, they noticed they could run the
 17 ventilator but something simple as tidal volumes were
 18 vastly -- were big large tidal volumes and met with my
 19 medical director and says, look, we've got to address --
 20 we added a module on, you know, hey, this is really an
 21 ideal body weight, simply learn from our guys, what a true
 22 tidal volume should be. We identified that weakness in
 23 our program and added a module, hopefully make us more
 24 safer. I just want to share that, that's something we've
 25 done.

Page 53

1 It just -- it's frightening to me that now that
 2 I'm looking at these agencies that are doing these, and I
 3 am looking at these charts specifically to do QA and
 4 process improvement to increase the level of service of
 5 these critical care transports with this back to a method
 6 of using the nurses, I can't believe that it's not
 7 happening in every agency that's not also running these.
 8 It's -- it was just -- it's not -- babbling to see that,
 9 it wouldn't be happening, and vents are just being managed
 10 all honky dory and A-OKAY.
 11 I just think the more perhaps, and I'm not
 12 saying anything about medical directors. I want to give
 13 them as much credit as they deserve. I just don't know if
 14 that's -- I'm being open and honest here with the 10 or so
 15 different plus agencies that I'm looking at with this
 16 program. And it's not even the EMTs. It's the nurses
 17 that do have more training, more education in a different
 18 line than EMT. And as team members it's impressive to see
 19 how much more work there is yet to be done out there. And
 20 these are ER and ICU nurses that are doing this.
 21 So I guess that's my only main concern out
 22 there, is should there still be a critical care transport.
 23 The recommendation is there's one out that may, and we can
 24 give variances to get to that level, I guess that's where
 25 it goes.

Page 55

1 **KRIS KEMP:** And that's specific to your agency,
 2 which is why I think this is --
 3 **KYLE LINDSAY:** This agency.
 4 **KRIS KEMP:** Yeah, to your agency. I think
 5 that's really important that we have that level of
 6 oversight.
 7 And Peter, does that fall back on your desk,
 8 then, as to determine if there is that robust level of
 9 training or is it still left --
 10 **DR. PETER TAILLAC:** Typically when someone --
 11 when an agency provides or requests this sort of expanded
 12 scope for inter-hospital work for transports, I don't sort
 13 of nitpick the training module and that sort of thing. I
 14 leave it, again, up to the medical director, but they have
 15 to demonstrate that a training program will be in place.
 16 What that exactly involves would be up to the individual
 17 agency, which makes sense because there will be different
 18 equipment and different techniques and that sort of thing.
 19 **KRIS KEMP:** I guess another point behind this,
 20 is that with that Intermountain program of using the local
 21 rural nurses to do transports, it's amazing the adversity
 22 in the amount of information and training and education
 23 experience that they have from, you know, running a DKA
 24 patient with no insulin with a gap of approaching 30 and
 25 near comatose.

Page 54

1 **DR. PETER TAILLAC:** I recommend we keep thinking
 2 about it, you know. I don't know that I have a good
 3 answer to sort of declare a roof on the floor over the
 4 whole system because rural agencies vary so much,
 5 resources are typically limited, and they do good work
 6 with the resources they have. I mean to try and say you
 7 have to have this certain level of experience on the rig,
 8 et cetera, to do transports would be crippling for a lot
 9 of them.
 10 **KRIS KEMP:** Yeah.
 11 **DR. PETER TAILLAC:** Interested in the rural
 12 folks speaking about that. I think being cognizant of the
 13 fact that these are critically ill patients and treating
 14 them appropriately within their resources is the key. You
 15 know, many of these would be flown, these kind of patients
 16 from rural areas, but sometimes you can't fly.
 17 **KRIS KEMP:** It goes back to the adage, we don't
 18 know what we don't know until we start to look.
 19 **DR. PETER TAILLAC:** It's really true.
 20 **KRIS KEMP:** Chris.
 21 **CHRIS DELAMARE:** Dr. Kemp, Chris Delamare with
 22 Gold Cross.
 23 Hey, listening to some of your comments from the
 24 last meeting when you asked us to do this, there's a lot
 25 of agencies that use these vents in different situations.

Page 56

1 I think what you are referring to are pretty much
 2 interfacility type transports in maintaining that
 3 stability of that patient. I think we had Wayne Edginton
 4 that stood up and said South Jordan uses the vent, but
 5 uses it in a cardiac arrest situation to free up hands.
 6 So I think -- I guess where I'm looking at this
 7 is it's so broad because there's so many different uses
 8 for different style vents, that I don't think you can just
 9 say this vent for this type of situation on anything.
 10 But going back to, I would almost say, I think
 11 the data that we need to look at is where are these vents
 12 being used? Are they more in the urban areas, or are they
 13 also in the rural, and I'm not saying that they don't. I
 14 think what Dr. Taillac has said, the rural may not have
 15 that many -- that many opportunities. I think it was
 16 Tammy that brought it up last time. I think she said she
 17 did two in over seven years. Was that you?
 18 **TAMMY BARTON:** And it wasn't even us. It was
 19 our anesthesiologist that went with us.
 20 **CHRIS DELAMARE:** Right. So kind of going back
 21 to that is, I think from my personal feeling, and I've
 22 talked to Eric about this and shared it, at a minimum, I
 23 think it should be a paramedic level deal, nothing below
 24 paramedic, if you ask me. That's just my opinion.
 25 But given that, I mean Gold Cross, we do a lot

Page 57

1 of vent transports which are going from snips to doctor
 2 appointments. And some of these are just maintaining
 3 their current situation.
 4 **KRIS KEMP:** Absolutely. And I agree with those
 5 because that is someone that -- I mean, you have patients
 6 that are trached and vented, you know, driving around in
 7 their wheelchairs around Primary Children's, and those are
 8 chronically vented patients. It's a different situation
 9 than one that is acutely and critically ill.
 10 **CHRIS DELAMARE:** And that's where, I guess, my
 11 confusion is what you are trying to get at, is are you
 12 just talking about these that are coming -- critical
 13 patients coming out of ER going to a higher level of care
 14 for stability?
 15 **KRIS KEMP:** That's the -- exactly the case.
 16 **CHRIS DELAMARE:** Okay.
 17 **KRIS KEMP:** The ones that are done in the field,
 18 if they are being put on a vent in transport en route to,
 19 to free up a set of hands, I mean, you've got to do what
 20 you've got to do. And if there's a basic vent that can be
 21 easily operated and hooked up and set up and makes things
 22 at least a little more standardized for that patient than
 23 just someone's randomly changing volumes and rates as with
 24 back valve masks, then so be it. But there's just so many
 25 complexities to these critically ill patients in

Page 58

1 interfacility transports that it -- I just -- I think it's
 2 a big huge -- it's a huge topic out there that we just
 3 haven't addressed well enough.
 4 Now the solution currently -- and I don't have
 5 the answers for it either. I'm not pro and I'm not
 6 against. I am trying to find answers that are affecting
 7 patients directly every day, two to three under my
 8 purview, and that's just kind of where I'm stuck, because
 9 I have a lot of pressures from one side saying shouldn't
 10 be doing it unless you've got these criteria and they take
 11 it even beyond the paramedics saying it shouldn't even be
 12 a nurse. It should be a respiratory therapist or CRNA or
 13 anesthesiologist or another physician.
 14 And so there's pressures that way and there's
 15 other pressures saying, oh, we do these all the time.
 16 It's no big deal. Why are you making such a big deal?
 17 And I'm having a hard time trying to divorce those two
 18 things, the critical of the patient and the ventilator
 19 itself with experience.
 20 **DR. PETER TAILLAC:** So Chris, one way to, if the
 21 committee was interested to look at this, you said you
 22 don't know what you don't know, which is comforting
 23 sometimes, don't ask questions you don't want to know the
 24 answers to, but the Committee could task -- don't yell at
 25 me -- the Bureau with perhaps looking at a group of

Page 59

1 interfacility transports with specific -- looking for
 2 specific details and come up with a report for the
 3 Committee about the potential complications that one
 4 reported or were reported or were noticed, et cetera. In
 5 other words, do a survey again, see is there a problem out
 6 there or not.
 7 **MARK SANDERSON:** Along those same lines, which
 8 or how many agencies actually use them, we may be talking
 9 about three or four agencies in the state and it's not --
 10 you know, maybe a much easier controlling factor than if
 11 it's 60 agencies.
 12 **DR. PETER TAILLAC:** Uh-huh.
 13 **CHRIS DELAMARE:** And just another follow up. If
 14 I remember from the previous meeting, again, your concern
 15 was coming as going by ground when air was not available
 16 due to weather or whatever; is that correct?
 17 **KRIS KEMP:** That's part of it.
 18 **CHRIS DELAMARE:** Okay. Because I mean
 19 honestly --
 20 **KRIS KEMP:** Or even if air is available and it's
 21 thought as a cost of savings issue for this patient,
 22 because sometimes that is a driving force by some
 23 physicians when they are making that transport decision.
 24 Well, I have a crew here that's got a vent and they are on
 25 the ground and it's going to cost a couple of thousand as

Page 60

1 opposed to I have to use this helicopter that could charge
 2 in some areas around the state a lot of money.
 3 **RUSSELL BRADLEY:** 10 times that.
 4 **KRIS KEMP:** 10 times that or more. And they may
 5 be making those decisions to say, well, this patient in
 6 those -- in, you know, with some diesel fuel instead of
 7 jet fuel is a better economical case, and I -- I'm
 8 struggling with that.
 9 **CHRIS DELAMARE:** I would be too especially if
 10 I'm the patient. They're going to save me a couple of
 11 thousand dollars versus my death? That's unfortunate that
 12 we look at it that way. That shouldn't be the driving
 13 factor, I mean plain and simple.
 14 **KRIS KEMP:** Right.
 15 **CHRIS DELAMARE:** I mean none of our EMS agencies
 16 look at that factor. I hope not anyway. So I mean, it's
 17 just --
 18 **KRIS KEMP:** There are probably just a handful
 19 that use ventilators, right, Peter?
 20 **DR. PETER TAILLAC:** I don't know, to be honest
 21 with you. We don't necessarily --
 22 **JAY DEE DOWNS:** Maybe that is something where we
 23 task that.
 24 **DR. PETER TAILLAC:** -- track that. Those who
 25 have submitted variances, it's a handful actually, but I

Page 61

1 think there's others out there doing it.
 2 **CHRIS DELAMARE:** We actually brought that up in
 3 our committee meeting. There's not a way for us to find
 4 out because if they haven't gone for a variance, and it's
 5 not -- a variance is not required because it's an optional
 6 equipment list. I'm not sure, unless we do a survey of
 7 all EMS agencies, I don't know how you find out.
 8 **KRIS KEMP:** Again, I'm not trying to put a stop
 9 to the practice that's already out there. I'm not. That
 10 has to be very clear. I'm trying to find a safe,
 11 appropriate way to do this and replicate it because that's
 12 what medicine is all about. If you've figured it out,
 13 share it and replicate it. And that's what I'm looking
 14 for. Because it's a very real need with the patients that
 15 I'm experiencing with.
 16 **NATHAN CURTIS:** Dr. Kemp, just one more -- just
 17 one more clarification for the Committee as well as the
 18 audience. Having worn both hats, having been a paramedic
 19 for 23 years, now a nurse, I feel that paramedics, EMTs
 20 manage hundreds more airways than nurses ever do. In the
 21 hospital where nurses work, respiratory is there, the
 22 physician's there, they don't intubate, they don't bag,
 23 where that's the primary responsibility of our EMS
 24 community is. They intubate, they bag the patient, and so
 25 I think they are much more competent in managing an airway

Page 62

1 than nursing staff ever would be because of the
 2 experiences that they've had.
 3 **KRIS KEMP:** That's a very good point, and it's
 4 one that I definitely appreciate. Again, I'm just trying
 5 to voice because of the things that I've experienced, the
 6 concerns that I have, and it's not based on pro or con.
 7 It is purely just I'm interested in trying to find the
 8 safest and highest quality way to maintain that patient's
 9 safety and that patient improvement in therapy when
 10 there's some very real data that says bagging is the worst
 11 thing when you have any ventilator on hand, but should we
 12 be transporting some of these patients by ground or should
 13 we wait for the weather to clear, which is ultimately the
 14 final answer that I'm looking for. Keep them in place
 15 even if it's that rural facility that has very limited
 16 resources and we have to buy them a vent to give them to
 17 use in the future, circumstance where we say you can't or
 18 we shouldn't be sending this person by ground for all of
 19 these factors.
 20 Now, again, that might be completely different
 21 for an urban setting and likely is based on experience of
 22 volume numbers.
 23 **CHRIS DELAMARE:** Right.
 24 **KRIS KEMP:** But in trackers. Just, I don't
 25 think we know what we don't know.

Page 63

1 Anyway, we've beat this dead horse. And I
 2 appreciate your input, Von, and from the Committee as
 3 well. I think all of us do appreciate that.
 4 Significantly. Thanks, Jason. That was good.
 5 **JASON NICHOLL:** Couldn't help it.
 6 **KRIS KEMP:** All right. So we do have some tasks
 7 to assign. We'll wait til the round table to do that and
 8 we'll move onto new ambulance standards. Guy.
 9 **GUY DANSIE:** Actually this falls into that
 10 category, I believe.
 11 Recently -- let me back up a little bit before I
 12 even start. Historically, the federal government had a
 13 standard for ambulances. States have followed that
 14 standard for years and years. It's called a Triple K;
 15 many of you are familiar with that. We've actually --
 16 I've been aware there was a new standard developed, I
 17 believe it was two or three years ago. We had our
 18 operation subcommittee look at that a couple of times. We
 19 weren't ready to move on it. It was quite cost
 20 prohibitive. There were increased costs, things that --
 21 new requirements. And like us, all the other states have
 22 not moved to that as well.
 23 Since that time, CAAS, the accrediting body for
 24 ground ambulances, developed a standard, and that was
 25 published probably a little less than a year ago. And as

Page 64

1 a result, the National Fire, NFA, revised their criteria
 2 for ambulances. And so we have these two different
 3 standards that were proposed. And both of these standards
 4 are based on new science, new technology. They've been --
 5 there's been testing done by -- testing, like third-party,
 6 you know, accrediting agencies and so forth to make sure
 7 that some of the new changes actually have merit.
 8 Long story short, the old standard is going
 9 away. It was not based on science. They've revised it a
 10 little bit and tried to keep it out there for states.
 11 However, the federal government is not comfortable with
 12 renewing that over and over because it's not based on the
 13 scientific findings.
 14 What I've proposed to do is now that we have
 15 those two documents and they seem to be quite firm and
 16 seem to be quite well established, is that we relook at
 17 those standards and then possibly adopt either standard or
 18 both of them so that when we order ambulances in the
 19 future, the manufacturers will have a clearer
 20 understanding of the requirements that we expect from
 21 them. Currently there is quite a bit of confusion by the
 22 ambulance manufacturers knowing how to build an ambulance
 23 because of the standard -- the old standard probably isn't
 24 as good as the two new standards. However, nobody has --
 25 when I say nobody, other states have not moved away from

Page 65

1 the old standard.
 2 So, you know, in my dealings with some of my
 3 counterparts in other states, some of the states are
 4 adopting rule language stating that instead of having the
 5 GSA, the KKK standard that we currently have or mentioning
 6 that -- our rule doesn't mention it by name, but it
 7 does -- it is the general -- the GSA standard is
 8 referenced in there.
 9 I would suggest that we change the language and
 10 look again at those two new standards, and either
 11 determine which of the two we prefer, or if both of them
 12 are acceptable, that we adopt both of those as standards
 13 for ambulances. And that's an operational thing, I
 14 believe.
 15 **KRIS KEMP:** Operations and Rules?
 16 **GUY DANSIE:** Yeah. What I propose is Operations
 17 reviews the information. And then whatever findings they
 18 have or opinions that they would like to bring forth,
 19 bring those and we'll present those to rules and we'll
 20 develop language that reflects those opinions. And then
 21 in the next meeting we can bring that back here for
 22 approval. That -- kind of long --
 23 **KRIS KEMP:** Sounds like a reasonable assignment.
 24 **GUY DANSIE:** It's kind of something that's been
 25 burning on the back burner for several years. I know Eric

Page 66

1 is back there nodding his head because Operations has
 2 looked through this extensively in the past and I don't
 3 want to create a burden for them again, but there are
 4 crosswalks developed now and there's some national helps,
 5 if you will, things that will help us in deciding which of
 6 those two, or both, of the standards we choose to adopt.
 7 **KRIS KEMP:** All right.
 8 **CHRIS DELAMARE:** Hey, a quick question on that,
 9 Guy. So you are saying no other states have adopted
 10 either one of these or they have, or is everybody still --
 11 or are most of the states still waiting to see what --
 12 **GUY DANSIE:** I believe it is Virginia. One of
 13 the states that the director there has been chair for
 14 NASEMSO on this project. And what they have done in their
 15 state is just basically the rule that they have now allows
 16 either standard.
 17 **CHRIS DELAMARE:** Okay.
 18 **GUY DANSIE:** And that's the discussion I've had
 19 with him. And that's probably the department's view at
 20 this point, is probably to allow either standard, either
 21 the FPA standard or the CAAS standard. But by declaring
 22 that we'll allow either one of those, then you can choose
 23 as a provider which one you feel is best suited to your
 24 needs and still we're making some progress in getting away
 25 from this old standard that hadn't had the science behind

Page 67

1 it.
 2 **CHRIS DELAMARE:** Okay. Thank you.
 3 **KRIS KEMP:** All right. Any other discussion
 4 points around those standards, assignment to the
 5 operations subcommittee? All right.
 6 Image Trend update. Scott Munson, Felicia
 7 Alvarez.
 8 **GUY DANSIE:** Let you introduce yourselves.
 9 **SCOTT MUNSON:** Yeah. You decide.
 10 Scott Munson, Bureau of EMS and Preparedness.
 11 Just wanted to take this opportunity to give you a brief
 12 introduction to some -- Felicia and myself, as well as a
 13 status update on the Image Trend project, so.
 14 I've actually worked in the Bureau for over 10
 15 years primarily with the public health preparedness
 16 information systems. So EMS is new to me, but I'm excited
 17 for the opportunity to get to know and work with the EMS
 18 community.
 19 Felicia Alvarez. Raise your hand, Felicia.
 20 Felicia just joined the Bureau. She actually came within
 21 the department from the Bureau of Epidemiology, so she has
 22 experience working with disease surveillance and some
 23 other information systems there. So we're excited to have
 24 her as part of the Bureau. And she will serve as the
 25 assistant administrator for both the licensure and

Page 68

1 certification system as well as the prehospital data
 2 system.
 3 So as far as a brief status update for Image
 4 Trend, the last insurance certification system is actually
 5 up and running. But what we're currently doing is working
 6 on the configuration of the system as well as developing
 7 some of the -- of our Bureau internal -- business
 8 processes into the application itself and migrating legacy
 9 data over from the old system to the Image Trend solution.
 10 So that's where we're currently at with that.
 11 The prehospital data system, based on Image
 12 Trend's implementation practice will come on secondary to
 13 the licensure system because of some of the data
 14 integrations between the two applications.
 15 So that's where we're at right now. We're
 16 working as hard as we can to get that implemented and get
 17 that moving forward. So any questions that I might be
 18 able to address for the group?
 19 **LAUARA SNYDER:** Lauara Snyder, Wendover
 20 Ambulance. What's your timeline?
 21 **GUY DANSIE:** I warned you, Scott.
 22 **SCOTT MUNSON:** I knew that question would come
 23 up. I'm reluctant to give you a time frame at this point
 24 just because of some of the complexities with developing
 25 some of the internal configurations with the systems.

Page 69

1 We've made a lot of progress in the last few weeks, but
 2 I'm not certain -- you know, I can't tell you a goal live
 3 date at this point.
 4 **LAUARA SNYDER:** Less than a year?
 5 **SCOTT MUNSON:** I would think so. I'm hoping for
 6 late summer, or before that for the systems to be up and
 7 running. But once again, that's an estimate at this
 8 point, so.
 9 Any other questions that are easier than that
 10 one?
 11 **CHAD PASCUA:** Chad Pascua, Murray City Fire.
 12 I'm just wondering, when are you -- are you guys able to
 13 accept the NEMSA data yet or what's the timeframe on that?
 14 **SCOTT MUNSON:** So we're not able to accept that
 15 data yet until the Image Trend solution is implemented.
 16 So, you know, once the Elite system is up and running,
 17 then we'll be able to accept that data. So once again,
 18 we'll communicate as quickly as we can when we think that
 19 will be available and work towards that, so.
 20 I know there's some agencies out there that are
 21 ready to submit that data, and those obviously will be the
 22 ones we'll work with first as we get the system up and
 23 running. So...
 24 **SHARI HUNSAKER:** In the meantime, if you are
 25 converting over to a new system, or if you're upgrading

Page 70

1 your software to version 3.4, there is a waiver available.
 2 If you contact Dean Penovich, you can apply for a data
 3 submission waiver. And once that's approved, then you can
 4 upgrade your system to 3.4 or 3, and not submit data to
 5 the State until we are ready to accept it.
 6 **CHAD PASCUA:** We're on Image Trend only right
 7 now. I'm just wondering what's the State's plan for
 8 other, like the small -- like a form to the smaller
 9 agencies? What's the plan with you guys using Image
 10 Trend, like for the rest of the state?
 11 **SCOTT MUNSON:** Yeah, so I think, if I understand
 12 your question correctly, it will be similar to the way the
 13 Polaris application is set up now, where obviously a lot
 14 of the agencies have their own software solution that they
 15 use and then that data is passed from your solution to the
 16 state system.
 17 Our Image Trend application will also have an
 18 interface for the agencies that don't have their own
 19 solution, so they'll be able to enter their data directly
 20 into that application, so.
 21 **KRIS KEMP:** All right. Anything else? Thank
 22 you.
 23 **SCOTT MUNSON:** Thank you.
 24 **KRIS KEMP:** Round table discussions. I think we
 25 can put some assignments out at this point.

Page 71

1 The disease testing of individuals exposed to
 2 blood borne pathogens, you felt that should go to
 3 Operations; is that correct? Von?
 4 **VON JOHNSON:** Yes.
 5 **KRIS KEMP:** Yeah. All right. Any concerns with
 6 that, making that assignment?
 7 And then --
 8 **GUY DANSIE:** Eric has one. Look at his face.
 9 **ERIC BAUMAN:** It's not a concern, just a
 10 clarification on the specifics of the assignment.
 11 **CHAD PASCUA:** Chad Pascua again. I just -- on
 12 that one, I was curious on this one why we've -- I'm not
 13 sure who instigated this right here. It works pretty good
 14 right now. So I'm not sure who's wanting to change what's
 15 already in place.
 16 **GUY DANSIE:** Can I give a little background?
 17 **KRIS KEMP:** Yeah.
 18 **GUY DANSIE:** We were approached by Intermountain
 19 Healthcare -- gosh, I think it was back in January -- and
 20 they were concerned that some of our -- like our forms
 21 were outdated and there wasn't a good standard that was
 22 available to providers. And some providers were not clear
 23 on the process.
 24 And then as -- through the legislative process
 25 there was a bill that was passed. And there's -- there is

Page 72

1 a Dr. Redd from Cache actually proposed a bill and got it
 2 passed that requires inmates or others that are not
 3 volunteering their blood to be able to draw that blood
 4 quickly and improve that process.
 5 We were kind of waiting to see how that was --
 6 if it passed or not. But now that it has passed, I think
 7 that helps. And maybe we need to just update our
 8 policies, our forms. I don't know if it's a rule thing
 9 that we need to change the rule or if it's important to do
 10 that or not, but I believe that there's some updating and
 11 awareness that needs to take place.
 12 We had attempted to address it in our Rules Task
 13 Force at one point, but the rules are not under the
 14 Department of Health rules. Therefore, we don't have the
 15 authority to change those rules. It was under Workman's
 16 Comp -- anyway, it's in a different area, and we don't
 17 have the authority to change that. That was primarily for
 18 the payment. And that's -- that's something that we
 19 probably ought to have the awareness about those rules and
 20 the changes in the statute and then the process, maybe
 21 something we post on our website saying this is how we do
 22 it if you are exposed to blood borne pathogens and these
 23 are the resources you need to take care of.
 24 So that's our concern --
 25 **CHAD PASCUA:** There is a flow chart --

1 Anything else for round table?
 2 Okay. Well, then it looks like our next meeting
 3 is July 13th.
 4 **JAY DEE DOWNS:** TG has something.
 5 **TAMI GOODIN:** Regarding the next meeting, I
 6 just would like to invite everybody -- oh, Tami Goodin
 7 with the Bureau of EMS -- invite everybody to the EMS week
 8 award's ceremony -- EMS award ceremony which will be
 9 July 13th at the Viridian Center. So it will not be held
 10 here.
 11 In the past we've had the Committee meet at the
 12 Viridian Center. They have their meetings and then we
 13 welcome you to attend the ceremony before, and then we
 14 have the meeting, the EMS Committee Executive and the
 15 Committee meeting right after that in the same building.
 16 So just put that on your calendar that it's at the
 17 Viridian Center at South -- West Jordan. Thanks.
 18 **KRIS KEMP:** All right. Is there anything else?
 19 Peter.
 20 **DR. PETER TAILLAC:** Another announcement to
 21 everyone. The -- we're proud to present the Utah
 22 Resuscitation Academy, which will be held at the Salt Lake
 23 Public Service building, two courses, May 8th -- no,
 24 apologize -- May 9th and May 10th, which should be Monday
 25 and Tuesday. They will be training medics, medical

1 **GUY DANSIE:** -- on the front side.
 2 **CHAD PASCUA:** -- right now. I'm not sure if
 3 it's through Workman's Comp. I know one of the forms
 4 allowed it if there is an exposure, you know, a Workman's
 5 Comp form. I know there's a flow sheet, and the flow
 6 sheet that we follow, and we work with IMC with exposures
 7 and we get the results pretty fast.
 8 **GUY DANSIE:** And part of the thing is you're an
 9 urban agency and you probably deal with needle sticks more
 10 frequently than the rural providers. So when a rural
 11 provider has a needle stick, or a new director, they don't
 12 know what to do, so we want to provide those resources
 13 quickly so the person can have prophylaxis if possible.
 14 That's -- that's the reasoning behind it. So that's...
 15 **KRIS KEMP:** Eric, did you have a comment?
 16 **ERIC BAUMAN:** No. I was just looking -- I can
 17 get with Guy after. I was just looking for clarification
 18 on the assignment, specifically what we needed to address.
 19 **KRIS KEMP:** Fair enough.
 20 **ERIC BAUMAN:** I'll get with Guy after.
 21 **KRIS KEMP:** All right. Other assignments?
 22 We're going to the new ambulance standards discussion;
 23 take that to Operations as well, have them review it.
 24 Those possible choice of one or two or both that either or
 25 situation for those standards.

1 directors and training officers for agencies around the
 2 state in high performance CPR techniques, the new concepts
 3 in cardiac arrest management, integration of dispatch with
 4 cardiac arrest management, and tracking of your agency's
 5 cardiac arrest success using the CARES database. All this
 6 will be modeled after the Seattle Resuscitation Academy
 7 which is widely respected. And in fact, we have three
 8 faculty from Seattle coming to help us with the program
 9 having already sent six or eight folks from Utah to the
 10 Resuscitation Academy in Seattle.
 11 The idea is to look at agencies, see these best
 12 practices and try to emulate them in their own agencies to
 13 improve cardiac arrest care in the state. The results are
 14 dramatic in the number of neurologically intact patients
 15 that are resuscitated.
 16 So we invite, particularly for this class,
 17 training officers, medical directors, or agency directors
 18 to come and participate and then take this back to your
 19 agencies. We hope also to be having regional
 20 resuscitation academies around the state beginning late
 21 this year and early next year as well and would like some
 22 folks who train at this first one to participate in those
 23 as trainers also.
 24 So if you have any more questions, there
 25 should -- there was an announcement that went out to all

1 agencies and all medical directors. I'm happy to take
 2 questions about it. We're super excited about it. It's a
 3 big deal. It should be a lot fun and informative.
 4 **KRIS KEMP:** Where is it and what time?
 5 **DR. PETER TAILLAC:** It will be from 8 a.m. until
 6 4 p.m. May 9th and May 10th at the Salt Lake Public
 7 Service building. What's that? Thank you.
 8 It's a one-day course and you can go to one or
 9 the other. Yeah. Thank you. It's not a two-day course.
 10 It's a one-day course, all day.
 11 We will be giving EMS credits, CME credits and
 12 I'm working on doc's CA credits. They are way more
 13 complicated, but working on it. We'll see.
 14 So talk to your medical directors, encourage
 15 them to come please. I'll send out some more reminders as
 16 well.
 17 **KRIS KEMP:** Great. Shari.
 18 **SHARI HUNSAKER:** I just want to clarify one
 19 thing. That as long as Polaris is still our operating
 20 prehospital system, you can still contact me for support.
 21 The decision was made to not overwhelm Felicia with
 22 learning the system that was sunsetting. So I'm taking
 23 care of Polaris and the licensure system that we call BEMS
 24 now, and then as we migrate over to Image Trend, I'll be
 25 stepping out of that and Felicia will be taking care

Page 77

C E R T I F I C A T E

STATE OF UTAH)
)
 COUNTY OF UTAH)

This is to certify that the foregoing proceedings were taken before me, Susan S. Sprouse, a Certified Shorthand Reporter in and for the State of Utah, residing in Salt Lake County, Utah;

That the proceedings were reported by me in stenotype, and thereafter caused by me to be transcribed into printed form, and that a true and correct transcription of said testimony so taken and transcribed is set forth in the foregoing pages, inclusive.

DATED this 25th day of APRIL, 2016.

 SUSAN S. SPROUSE, RPR, CSR
 LICENSE NO. 5965543-7801

Page 79

1 of that.
 2 **KRIS KEMP:** Great. Thank you. Any other last
 3 comments? All right. We have a motion to adjourn?
 4 **JAY DEE DOWNS:** So moved.
 5 **JASON NICHOLL:** Seconded.
 6 **KRIS KEMP:** Do I need for all in favor. Look at
 7 that. Before three o'clock.
 8 (Meeting was concluded at 2:45 p.m.)
 9
 10
 11
 12
 13
 14
 15
 16
 17
 18
 19
 20
 21
 22
 23
 24
 25

Page 78

Page 79